

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

IDSA/PhRMA/FDA WORKSHOP

Tuesday, November 19, 2002

9:00 a.m.

Advisors and Consultants Staff Conference Room
5630 Fishers Lane
Rockville, Maryland

PARTICIPANTS

John Edwards, M.D., IDSA, Moderator

FDA:

Mark Goldberger, M.D., M.P.H.
John Powers, M.D.
Renata Albrecht, M.D.
Janice Soreth, M.D.
Ed Cox, M.D., M.P.H.
Phil Colangelo, Ph.D., Pharm.D.
Daphne Lin, Ph.D.
Erica Brittain, Ph.D.
Karen Higgins, Sc.D.
John Lazor, Ph.D.

IDSA:

Richard Wenzel, M.D.
William Craig, M.D.
George Talbot, M.D.
John Bradley, M.D.
Jan Hirschmann, M.D.
Don Craven, M.D.
Stanley Deresinski, M.D.
Michael Scheld, M.D.
David Gilbert, M.D.
Louis Saravolatz, M.D.

PhRMA:

Alan Goldhammer, M.D.
Will Bushnell
Frank Tally, M.D.
James Poupard, Ph.D.
David Cochetto, Ph.D.
Christy Chuang-Stein, Ph.D.
Roger Echols, M.D.
Richard Gesser, M.D.
Liannng Yuh, Ph.D.
Donald Jaffe, Ph.D.
Tim Hinkle, M.D.
Clarence Young, M.D.
George Miller, M.D.

OTHERS:

Todd Weber, M.D. (CDC)

C O N T E N T S

Call to Order, John Edwards, M.D.	4
Introductions	6
Introductory Comments, Mark Goldberger, M.D., M.P.H.	11
Drug Development for Resistant Pathogens:	
Richard Wenzel, M.D., M.Sc., IDSA	15
Frank Tally, M.D., Biotech	25
Ed Cox, M.D., FDA	38
Discussion	46
Use of Exposure Response Relationship to Facilitate Development of Drugs for Treatment of Resistant Pathogens:	
William Craig, M.D., IDSA	92
James A. Poupard, Ph.D., PhRMA	104
Phil Colangelo, FDA	113
Discussion	123
Regulatory and Other Incentives in Drug Development:	
Mark Goldberger, M.D., M.P.H., FDA	179
David Cochetto, Ph.D., PhRMA	193
Frank Tally, M.D., Biotech	205
Discussion	210
Issues Regarding Non-Inferiority Margins in Clinical Trials:	
George Talbot, M.D., IDSA	225
Christy Chuang-Stein, Ph.D., PhRMA	240
John Powers, M.D., FDA	253
Discussion	263
Concluding Remarks, John Edwards, M.D.	288

1 P R O C E E D I N G S

2 Call to Order

3 DR. EDWARDS: I hope this is a good sign
4 in that we are actually going to start the meeting
5 a minute early. My name is Jack Edwards. I am the
6 Chairman of the Public Policy Committee of the IDSA
7 and I work at Harbor UCLA Medical Center, and I
8 will be moderating this conference.

9 What I would like to do in the next few
10 moments is just give a bit of a perspective on this
11 conference from the IDSA notion, and then we will
12 introduce the people at the front table, and then I
13 have a few announcements to make before we actually
14 start.

15 I think it is quite clear that the members
16 of the IDSA, as they go about their encounters with
17 the public and with patients, have become concerned
18 about the availability of antimicrobial agents and
19 concerned about the future of the availability of
20 the antimicrobial agents. That concern really
21 comes at a time that is sort of mismatched with the
22 history of infectious diseases in that we are in a
23 time now where infectious diseases are still the
24 third leading cause of death in the United States.
25 We have a tremendous problem with resistant

1 organisms developing. We have emerging and
2 reemerging infections, and we have the threat of
3 bioterrorism at the present time. These four
4 points really match with a need that is critical
5 for the development of antimicrobial agents and, at
6 the same time, we are perceiving a real decline in
7 the availability of agents that are coming along,
8 and perceive that there is a decline in research
9 and development of the agents.

10 So, today we have a unique opportunity in
11 that we are able to bring PhRMA, FDA and IDSA
12 together outside of the context of an advisory
13 board meeting. This meeting really is intended to
14 be a science meeting where we discuss issues that
15 may lead to a solution to this mismatch in our
16 situation at the present time.

17 The meeting will not be product oriented.
18 It is not an advisory board meeting and everyone
19 concerned is hoping that there will be a
20 free-flowing scientific discussion where we discuss
21 in some detail or in extensive detail some of the
22 nuances that are important for the development of
23 antimicrobial agents.

24 The IDSA is very concerned with what the
25 patients need. PhRMA is concerned with issues of

1 developing antimicrobials in a very intensely
2 competitive environment. The FDA has the job of
3 determining what the efficacy and safety is of new
4 agents coming along. But, actually, all three
5 groups are aimed towards the same goal, and that is
6 trying to provide the best possible situation for
7 the public. I think in reality, although we are
8 three different groups, we are all focused on the
9 exact same issues here, and probably a word that is
10 going to emerge over and over again through these
11 discussions is balance and how development can
12 occur within the confines of the needs for safety,
13 the needs of PhRMA, and result in the best possible
14 situation for the public in this country at this
15 time.

16 So, I am hoping to set a tone of
17 free-flowing discussion, a more relaxed tone than
18 might be present at a usual advisory board meeting,
19 which this is not, and am looking forward to a very
20 interesting day.

21 At this point, I would like to go around
22 the table and have each of the members at the table
23 introduce themselves and I will start with Alan, to
24 my right.

25 DR. GOLDHAMMER: Alan Goldhammer,

1 associate vice president for regulatory affairs at
2 PhRMA.

3 DR. EDWARDS: We need to push the button
4 to turn the microphone on and you need to push the
5 button to turn it off. I have a wonderful gadget
6 here that I am not familiar with but it is the
7 electronic gavel, and I can silence all microphones
8 any time I want.

9 [Laughter]

10 DR. TALLY: Frank Tally, chief scientific
11 officer at Cubist Pharmaceuticals.

12 DR. CHUANG-STEIN: Christy Chuang-Stein,
13 statistics, Pharmacia. I am here representing
14 PhRMA.

15 DR. ALBRECHT: Renata Albrecht, director,
16 Division of Special Pathogen and Immunologic Drug
17 Products, FDA.

18 DR. SORETH: Good morning. I am Janice
19 Soreth. I am the division director for
20 anti-infectives.

21 DR. GOLDBERGER: Mark Goldberger, from the
22 Office of Drug Evaluation, IV, FDA.

23 DR. POWERS: John Powers, lead medical
24 officer for antimicrobial drug development in ODE
25 IV.

1 DR. COX: Ed Cox, medical team leader,
2 Division of Special Pathogens and Immunologic Drug
3 Products, FDA.

4 DR. LIN: Good morning. I am Daphne Lin,
5 statistical team leader for the Division of
6 Biometrics, III, FDA.

7 DR. BRITTAIN: Erica Brittain, senior
8 statistical reviewer, FDA.

9 DR. HIGGINS: Karen Higgins, statistical
10 team leader, Division of Biometrics, III, FDA.

11 DR. WEBER: Todd Weber, senior medical
12 officer, National Center for Infectious Diseases,
13 CDC.

14 DR. SCHELD: I am Michael Scheld. I am
15 from the University of Virginia and currently
16 president of the IDSA.

17 DR. GILBERT: Dave Gilbert. I am from
18 Portland, Oregon and I work in a community teaching
19 hospital and I am the past president of the IDSA.

20 DR. SARAVOLATZ: I am Lou Saravolatz, from
21 St. John Hospital in Detroit, Michigan. I am
22 chairing the Infectious Disease Society's Committee
23 on Antimicrobial Usage in Clinical Trials.

24 DR. WENZEL: I am Dick Wenzel. I am chair
25 of the Department of Medicine at the Medical

1 College of Virginia, representing IDA.

2 DR. CRAIG: Bill Craig, University of
3 Wisconsin, representing IDSA.

4 DR. TALBOT: George Talbot, previously an
5 ID clinician by training and experience, more
6 recently working with the pharmaceutical industry,
7 and I am here representing IDSA.

8 DR. BRADLEY: John Bradley. I am a
9 pediatric infectious disease specialist at
10 Children's Hospital, San Diego UCSD and I am here
11 representing the IDSA.

12 DR. HIRSCHMANN: I am Jan Hirschmann. I
13 am an ID specialist as well, from the VA hospital
14 in Seattle and representing IDSA.

15 DR. DERESINSKI: Stan Deresinski, Stanford
16 University St. Clara Valley Medical Center in San
17 Jose and vice chair of the antimicrobial use in
18 clinical trials committee of the IDSA.

19 DR. JAFFE: Donald Jaffe, regulatory
20 affairs, Pfizer, representing PhRMA.

21 DR. MILLER: George Miller, VP of R&D at
22 Essential Therapeutics in California, representing
23 Biotech.

24 DR. HINKLE: I am Tim Hinkle, chief
25 medical officer of Versicore.

1 DR. YOUNG: I am Clarence Young. I am
2 vice president for clinical development and medical
3 affairs in anti-infectives at GlaxoSmithKline,
4 representing PhRMA.

5 DR. POUPARD: Jim Poupard, director of
6 strategic microbiology at GlaxoSmithKline,
7 representing PhRMA.

8 DR. COCHETTO: I am David Cochetto. I am
9 in regulatory affairs at GlaxoSmithKline, here
10 representing PhRMA.

11 DR. GESSER: Richard Gesser, with clinical
12 research in infectious diseases at Merck Research
13 Laboratories, representing the PhRMA group.

14 DR. ECHOLS: Roger Echols, vice president
15 of infectious disease clinical development at
16 Bristol-Myers Squibb, working with PhRMA.

17 DR. EDWARDS: Thank you very much. Two
18 quick announcements. We need to keep our visitor
19 tags for both days so you will need to hang onto
20 the tags for both days. At noon today, the people
21 at this table will be escorted to the cafeteria for
22 lunch, if you so desire. If so, could you please
23 stay as the room empties out.

24 One other comment I wanted to make is that
25 again, unlike an advisory board meeting, depending

1 on how our discussions go and time goes we will be
2 able to have questions from the audience and
3 discussion from the audience.

4 At this time I would like to thank all the
5 people from the FDA for a great deal of time and
6 effort that has gone forth in getting this meeting
7 together. There really has been a lot of homework
8 done. I would like to now turn to Mark Goldberger
9 who will make a few introductory comments.

10 Opening Remarks

11 DR. GOLDBERGER: Thank you. I would like
12 to welcome everybody to this meeting. I would like
13 to give special thanks also to our colleagues from
14 PhRMA and IDSA for their enormous effort to pull
15 this meeting together, as well as to my many
16 colleagues from the FDA, most notably John Powers
17 and Li Chang for their hard work and all the
18 planning that has led up to today. I would also
19 like to particularly thank Dr. Edwards for his
20 willingness to undertake what will undoubtedly be
21 the difficult task of keeping the discussion going
22 and keeping everybody on time during the next two
23 days.

24 There is a lot of history to how we came
25 to be here today, some of which I was personally

1 involved with and some not. There is a long
2 history of guidance activity for antimicrobial
3 drugs, certainly going back many years at FDA.
4 Some of the notable features include the FDA/IDSA
5 activities in the early 1990's with guidances; some
6 big FDA advisory committees around 1997 to talk
7 more about guidance development. In the fall of
8 1998 we had a two and a half day advisory committee
9 with regards to the problems of antimicrobial
10 resistance.

11 Then basically we had an issue that came
12 up I guess about a year, year and a half ago with
13 regards to what the standards should be for
14 clinical trials, i.e., the so-called, infamous now,
15 delta issue. That was to go to the advisory
16 committee on September 13, 2001. My own personal
17 opinion is the only good thing to come out of
18 September 11 is that it got that advisory committee
19 postponed till February of the following year, by
20 which time we had the opportunity to have a more
21 detailed look at some of the issues with regards to
22 antimicrobial development.

23 I think there were some things we
24 recognized. I mean, there certainly has been a lot
25 of activity going on with guidance development.

1 Whether there had been genuinely new thinking about
2 how to approach problems in antimicrobial drug
3 development is perhaps a little less clear.
4 Although we have had meetings with regards to the
5 issue of antimicrobial resistance, I don't think we
6 had yet gotten to the point of having clear-cut
7 steps on how we were going to proceed to really get
8 to the point of being able to provide advice to
9 companies who were interested in this area.

10 Therefore, we took advantage of the
11 opportunity in February to have a two-day advisory
12 committee, to spend a day talking about issues
13 related to delta and clinical trial design and
14 spending a day talking about the issue of
15 development of drugs for resistant indications,
16 recognizing that these two are ultimately really
17 not that distinct. I think that as a result of the
18 discussions in February there was a desire to have
19 some additional interaction between FDA, IDSA and
20 PhRMA. The feeling was that a format such as this,
21 a more open public meeting that would allow free
22 flow of discussion, would be extremely useful in
23 terms of developing a little more detail on some of
24 the important scientific issues, and perhaps
25 providing us with a little clearer road map as to

1 how to best find an approach to proceed.

2 I think that we all recognize that there
3 is a growing need for new antimicrobials,
4 especially those intended to treat serious illness
5 due to resistant organisms. One thing we certainly
6 want to do is to try to define the package of
7 information that will most effectively allow us to
8 obtain safe and effective therapy for such
9 situations.

10 There is also a need to reexamine our
11 approach more broadly to the development of
12 antimicrobials for well-established indications,
13 including the need to reconsider both the actual
14 benefit of therapy in some of these situations and
15 our approaches to demonstrating such benefit. I
16 think, finally, there is a clear need to consider
17 whether our paradigm for clinical development of
18 new antimicrobials for multiple indications really
19 takes full advantage of the kind of inferential
20 thinking an experienced clinician might use in
21 deciding how to choose therapy, that is to say how
22 information from one indication can most
23 effectively support others. I think that is an
24 area where there is an opportunity to make some
25 additional progress.

1 To meet these objectives we must address
2 some significant scientific issues as well as
3 regulatory issues. So, I hope that we can make
4 substantial progress in this direction over the
5 next two days. We also expect to have additional
6 discussion on standards for approval of new
7 products, a continuation of the dialogue that began
8 last February. We recognize that this remains a
9 concern of our colleagues from industry and
10 basically we all look forward to a productive next
11 two days, and I want to thank everybody again.

12 DR. EDWARDS: Thank you very much. We are
13 going to start now with the topic of resistant
14 pathogens and I would like to call on Dick Wenzel,
15 from the IDSA, to begin the presentation.

16 Drug Development for Resistant Pathogens

17 IDSA Presentation

18 DR. WENZEL: In introducing this topic,
19 what I hope to leave you with is that this is,
20 first of all, a very important problem.

21 [Slide]

22 If we look at mortality as an endpoint, it
23 is a life-threatening problem, one that is complex
24 and one that, as an optimist, I think we can
25 resolve.

1 [Slide]

2 Let me begin by showing you these data
3 from Hughes and Datta, published in Nature. The
4 title of the slide is "conjugated plasmids in the
5 pre-antibiotic era." A microbiologist by the name
6 of Murray was a strain saver. He collected
7 enterobacteriaceae from 1917 on. These organisms
8 came from North America, Europe, India, Mid East,
9 Russia. They were mostly GI pathogens--Salmonella,
10 Shigella, E. coli.

11 What Hughes and Datta did is take these
12 strains from 1917 to 1941 in the pre-antibiotic era
13 and examine them for genetic transfer function or
14 plasmids, and found plasmids in 24 percent, again
15 in the pre-antibiotic era. Not surprisingly, there
16 was low level resistance: ampicillin resistance in
17 two percent; tetracycline resistance in nine
18 percent. However, no plasmids had resistant genes.
19 The low level resistance in the pre-antibiotic era
20 was located almost exclusively on the chromosome.

21 [Slide]

22 Things changed in the antibiotic era. An
23 example of this is O'Brien's study in Science. I
24 have labeled it "intercontinental spread of a new
25 antibiotic resistance gene on epidemic plasmid."

1 Recall that in the next study the gene for
2 gentamicin resistance was coded by virtue of two
3 nucleotidyl transferases and all the organisms had
4 identical Eco R1 fragment size and produced the
5 same beta-lactamases.

6 The point of this slide is that within
7 months now there was a spread of the epidemic gene
8 on the plasmid, from the East Coast--Philadelphia,
9 Boston, Syracuse, Chicago--to the West
10 Coast--Gainseville and even down to Caracas,
11 Venezuela. So, in the post-antibiotic era there
12 was now rapid transfer of antibiotic resistance by
13 virtue of the resistance gene on an epidemic
14 plasmic.

15 [Slide]

16 How do they do this, if you will? Well,
17 imagine two adult enterococci that actually contain
18 sex pheromones and they induce plasmid transfer.
19 So, if you look on the right, the plasmid-free
20 recipient actually secretes a family of heat-stable
21 protease susceptible pheromones, five or six
22 pheromones seven or eight amino acids in length.
23 If you will, the plasmid containing donor responds
24 by synthesizing a protein adhesin facilitating
25 mating. As a result, there is increased transfer

1 frequency of 105 to 106 fold. After transfer the
2 specific plasmid pheromone shuts down.

3 [Slide]

4 As background let's look at just this year
5 in the summer. The first case of full vancomycin
6 resistance to Staph. aureus, with an MIC of greater
7 than 128 mcg/ml, a woman 40 years old from Detroit,
8 with a background of diabetes, peripheral vascular
9 disease, chronic renal insufficiency, on dialysis,
10 with a three-month history of a chronic foot ulcer.
11 In April she had a methicillin resistance to Staph.
12 aureus blood stream infection, and in June exit
13 site infection with resistant Staph. aureus.

14 If you look here, on the left, you can see
15 it was resistant not only to vanc but also to
16 oxacillin. Curiously, susceptible to chloro,
17 linezolid, Synercid and minocycline, trimethylene
18 and sulfamethoxazole. But the point I want to come
19 back to and relate to an earlier slide is that the
20 mechanism for resistance was a VanA gene taken from
21 the enterococcus by the Enterococcus faecalis so,
22 if you will, a transposon. So, the possibility of
23 epidemic plasmid transfer widely exists.

24 [Slide]

25 While we were getting over this, a second

1 case showed up in the middle of Pennsylvania, this
2 time with an MIC of 32, fully vanc resistant, a
3 70-year old obese man weighing 500 lbs. He had had
4 a history of a left lower extremity amputation
5 secondary to osteo, in 1995. For two years he had
6 a right lower extremity ulcer that had contained
7 both VRE and methicillin resistant staph. In
8 September of '02 he had osteomyelitis. He had vanc
9 resistant Staph. aureus; as you can see, S.
10 maltophilia, group B strep. and again the VanA gene
11 was the mechanism. So, two different cities,
12 probably two different organisms with the potential
13 for widespread transmission.

14 [Slide]

15 If we were setting up a clinical trial for
16 vanc. resistant Staph. aureus therapy there are
17 immediately a number of questions. What is the
18 gold standard? You can't use vanc. or meth.
19 because the organism is resistant. Probably we
20 would use trimethylene and sulfamethoxazole, based
21 in part on Lou Saravolatz' study a number of years
22 ago. What comparators would we use? Synercid,
23 linezolid, or some combination? And, what
24 scientific base do we have to choose the
25 comparators?

1 [Slide]

2 Why did I focus in part on Staph.? Well,
3 if you look back to this classic study from 1941,
4 Sinner and Keefer, significance of bacteremia
5 caused by Staph. aureus, 122 consecutive cases in
6 the pre-antibiotic era, the case fatality was 82
7 percent. If you look at the total cases, on the
8 top bar, of those who recovered, at the bottom,
9 only one patient over age 50 survived Staph. aureus
10 bacteremia. One might argue that we have better
11 ICU support; we might have a drug that we could
12 use, but this is a very virulent organism with high
13 cases of fatality.

14 [Slide]

15 We know that we have to choose the correct
16 antibiotics. This study in 2000 by Ibrahim and
17 colleagues looked at ICU bloodstream infection and
18 increased mortality with inadequate antimicrobial
19 therapy. Here it is not only if we don't have an
20 organism but also physician behavior because
21 inadequate meant that the physician did not
22 prescribe an antibiotic on day one to the patient
23 to which the organism was susceptible in vitro.
24 The accrued mortality in those who received an
25 adequate antibiotic was 29 percent; inadequate, 62

1 percent.

2 When the authors modeled death, the risk
3 factors for death, inadequate antibiotic therapy,
4 wrong antibiotic, no antibiotic had an adjusted
5 odds ratio of 6.9 compared to those who had
6 adequate therapy even after you correct for other
7 predictors of death. We need to choose the right
8 antibiotic and have one available.

9 [Slide]

10 A little closer to home, if I look at some
11 data that we have collected with Mike Edmund, and
12 we have a national surveillance program called
13 SCOPE with 50 hospitals around the country
14 prospectively identifying patients with hospital
15 acquired bloodstream infections. We now have data
16 on 25,000 prospectively collected bloodstream
17 infections acquired in the hospital.

18 But if you look at our first paper, crude
19 mortality, if you will, is on the right axis in
20 red, and the proportion of all nosocomial
21 bloodstream infections on the left axis in grey,
22 the top four organisms are left to right. So,
23 coagulase-negative Staph., the number one cause in
24 nosocomial bloodstream infections, of 32 percent of
25 bloodstream infections acquired in the hospital 21

1 percent of patients will die in a month after that.
2 Number two, Staph. aureus, 16 percent of blood
3 stream infections acquired in the hospital, 25
4 percent crude mortality. Enterococcus is number
5 three, 11 percent of blood stream infections and 32
6 percent of patients die. Number four is Candida, 8
7 percent of blood stream infections, 40 percent of
8 patients die.

9 Left to right, coagulase-negative staph.,
10 80 percent resistant to methicillin; Staph. aureus,
11 50 percent resistant to methicillin; enterococcus,
12 25 percent to 30 percent resistant to vancomycin.
13 Candida today, only half are albicans, known to be
14 susceptible to the first generation triazoles. So,
15 we have a huge problem.

16 When you look at crude mortality, we know
17 that that is a combination of the mortality
18 directly due to the infection plus the mortality
19 due to the underlying disease. This is an area of
20 interest of mine. We have done a number of
21 historical cohort studies to dissect out the
22 contribution. The mortality directly attributable
23 to the infection is at least half of the total of
24 crude mortality.

25 [Slide]

1 Imagine this situation, if you look at
2 attributable mortality the reason that is important
3 is because that is the promise of better
4 antimicrobial therapy. Key point, an antibiotic
5 can only affect attributable mortality due to the
6 infection; it cannot affect the mortality due to
7 the underlying disease. So, imagine quintuplets
8 coming into the hospital. They all have the same
9 mortality from the underlying disease, in red--or a
10 series of quintuplets. So, quintuplet one comes in
11 and their mortality is 10 percent due to the
12 underlying disease. Quintuplet two gets an
13 infection and no therapy, a blood stream infection.
14 Here the total or crude mortality is 50 percent
15 but, in blue, is the attributable mortality, the
16 best that an antibiotic can affect plus the 10
17 percent mortality due to the underlying disease.
18 An effective antibiotic can knock the attributable
19 mortality from 40 to 30, which moves the crude
20 mortality from 50 to 40. A resistant gene could,
21 in theory, be linked to a toxin which could then
22 make things worse and add even more mortality or
23 less. The key point is that antibiotics affect
24 only attributable mortality.

25 [Slide]

1 Let me make a hypothetical argument about
2 something in the ID community. This hypothetical
3 argument relates to the recombinant human-activated
4 protein C for severe sepsis and septic shock. I
5 have no stock in Lilly. I am concerned about this
6 but I want to make the argument anyway.

7 In their pivotal study the crude mortality
8 in the control group was 30.8 percent and in the
9 group that received human-activated protein C was
10 24.7. So, the absolute difference in mortality,
11 30.8 minus 24.7, is 6.1 percent. Many of us would
12 say that is not a huge difference. The authors of
13 the original study argued correctly that that did
14 represent a 28 percent reduction in crude
15 mortality, from 30.8 to 24.7. One could argue
16 that, in fact, if half is due to attributable
17 mortality and half is mortality due to underlying
18 disease then, in fact, it was a 40 percent
19 reduction in attributable mortality, from 15.4 to
20 9.3, to make the hypothetical argument.

21 [Slide]

22 In summary, I think clinical trials of
23 anti-infectives for highly resistant organisms are
24 clearly an important problem, and I have focused on
25 life-threatening problems related to infections of

1 the blood stream. It is urgent. We just have to
2 look in the last couple of months with highly
3 resistant vancomycin-resistant Staph. aureus. It
4 is a complex problem because it involves not only
5 appropriate therapy but appropriate
6 decision-making.

7 Importantly, I think mortality is a good
8 endpoint. It has real meaning. But we need to do
9 power estimates, cognizant of attributable
10 mortality not just crude mortality. The gold
11 standard and comparative drugs are very challenging
12 decisions for us today but I think with creativity
13 and the working relationship that this meeting
14 embodies we can actually do this. Thank you very
15 much.

16 DR. EDWARDS: Thank you very much, Dick.
17 We are going to do all three presentations first
18 and then open for discussion afterwards. So, at
19 this time I want to call on Frank Tally for the
20 second presentation. Frank?

21 PhRMA Presentation

22 [Slide]

23 DR. TALLY: I am here representing
24 pharmaceutical manufacturers to talk about drug
25 development for resistant pathogens.

1 [Slide]

2 I think the first thing you have to do is
3 to look at the list of pathogens that fall into
4 this category. Dick Wenzel just concentrated on
5 Staph. aureus but there is a whole list of both
6 gram-positive and gram-negative. I borrowed this
7 slide from David Ross' talk this past February. It
8 was in the advisory document that came from the FDA
9 and this is a list I have put together with the
10 resistance rates. But I think this is the type of
11 list that has to be updated frequently. This is a
12 list of nosocomial pathogens that present a
13 problem. We are dealing a lot now with the
14 gram-positive pathogens but the resistant
15 gram-negative in the seriously ill patients is
16 presenting a large problem and I think it will be
17 the next wave of resistance that we have to deal
18 with in the seriously ill patients in intensive
19 care units.

20 [Slide]

21 On the community side there are a number
22 of different pathogens. I put a star beside the
23 vancomycin-resistant Staph. aureus because this is
24 what everybody has been fearing. Dick Wenzel just
25 covered it. With the two cases appearing in widely

1 diverse areas you know that there are a lot more of
2 these isolates out there now, probably on a plasmid
3 that is more epidemic.

4 We have the problem of resistance in
5 *Strep. pneumoniae*. Methicillin-resistant *Staph.*
6 *aureus* is growing to be a major problem in the
7 community, and I think what we are seeing is the
8 same that we saw 25 years ago when the emergence of
9 penicillinase producing *Staph. aureus* spread out of
10 the hospitals to communities and in a matter of ten
11 years greater than 90 percent of the strains were
12 resistant to penicillin requiring the development
13 of new drugs.

14 We also have resistance in gram-negative
15 organisms, particularly in salmonella and in *N.*
16 gonorrhoea, and we are seeing new resistance in *N.*
17 gonorrhoea. Finally, we have only seen macrolide
18 resistance in *Strep. pyogenes*. I think everybody
19 around this table is fearing the day when we get a
20 penicillinase producing *Streptococcus pyogenes*
21 because of the virulence of that particular
22 pathogen.

23 These lists need to be reviewed
24 periodically through some forum and be published.
25 I think the inter-agency task force on resistance

1 is looking at this but I think this group has to
2 periodically look at this and update these lists
3 every two or three years to make sure we are on top
4 of the current health need in our sick patients.

5 [Slide]

6 What about the development of drugs to
7 treat these resistant pathogens? When you look at
8 the antibiotic resistance it is really a complex
9 issue without really simple solutions. We have
10 talked about reserving antimicrobial agents to just
11 treat resistant organisms. I have talked at these
12 meetings previously and I think reserving agents
13 really won't solve the problem. What it does
14 result in is decreased research in both big PhRMA
15 and the biotech section. In the biotech section
16 you cannot generate funds from the public sector if
17 they perceive that a drug would be restricted just
18 solely for resistant organisms because of the
19 tremendous cost it takes to develop these agents.
20 We have already seen in big PhRMA a number of the
21 big pharmaceutical companies closing down their
22 antimicrobial discovery units because they can't
23 match up with the other drugs that are in CNS and
24 cardiovascular diseases, and the so-called return
25 on investment isn't there for them. That is why

1 you are hearing even today about units being closed
2 down in the pharmaceutical industry.

3 So, I think one of the things I would like
4 to see out of this meeting is constructing a
5 strategy to continue to discover and develop
6 multiple new chemical entities so we will have
7 drugs to treat these resistant pathogens.

8 [Slide]

9 Those agents can fall into a number of
10 different categories. Right now there are a number
11 of agents, which I won't go into, that are focused
12 on gram-positive organisms. They are usually IV
13 drugs but there has been one just recently
14 approved. Linezolid is both IV and oral, which is
15 an advantage in development. With IV drugs you
16 have very few indications that you can go after and
17 it requires patients being in the hospital.

18 We have the broad spectrum agents with
19 multiple indications. Usually they are IV and
20 oral. This is an area where people are still
21 looking to have these broad spectrum agents.

22 We are looking for new agents,
23 particularly many of the biotech companies are
24 looking for new agents, but old agents can be
25 reworked to get approval for these resistant

1 pathogens. Old agents will work when resistant
2 pathogens emerge and that was the lesson with
3 vancomycin. In the '70's and early '80's
4 vancomycin was almost taken off the market because
5 of little use.

6 [Slide]

7 But as you can see on this slide, with the
8 spread of methicillin-resistant Staph. aureus you
9 can actually measure the tonnage of vancomycin
10 sold, and it tracks right along with the incidence
11 of MRSA. So, the emergence of resistant organisms
12 will drive certain drugs and certain drug use to
13 very high levels. In the United States last year
14 there were 15 million days of therapy with
15 vancomycin. Unfortunately, we are starting to see
16 vancomycin resistance so we need other agents.

17 [Slide]

18 But what is the problem in the drug
19 development of agents for resistant organisms?
20 There are very limited drugs in the pipeline. The
21 promise ten years ago that genomics and combinatorial
22 chemistry was going to solve all of our problems,
23 in retrospect it has failed to date. Many of us
24 feel it will have the potential to come up with new
25 targets with new drugs, but it is going to take

1 tremendous funding for these new approaches and
2 these new targets to be developed. I daresay they
3 are five to ten years away.

4 [Slide]

5 What are the problems with an IV only
6 drug? You limit it to serious infections and so
7 you have a limited patient database in different
8 indications that you can go after, such as
9 complicated skin, community-acquired pneumonia or
10 hospitalization or nosocomial pneumonia or
11 intra-abdominal infections.

12 We have talked about the selection of the
13 optimum comparative agent. I think this has to be
14 selected for the standard of care at that time, and
15 that is why it is important I think with this
16 group, having the ID society recommending what is
17 the standard of care in 2002.

18 Also, IV drugs only require
19 hospitalization, full treatment, and in this day
20 and age patients don't stay in hospital very long.
21 It has prompted home IV therapy but that is very
22 cumbersome and very difficult to do, although in
23 some cities you can do it well.

24 Finally, with an IV only drug you have a
25 problem with criteria for oral switch. What you

1 would like to do is use the IV drug to bring the
2 infection under control and then switch to oral
3 therapy. There are several drugs being developed
4 that don't have oral forms and the problem we have,
5 regulatory-wise, is that if you switch to another
6 class of drugs it is classified as a failure. I
7 think one of the problems we want to address is can
8 new guidelines be brought out to look at oral
9 switch, and I will come back to that.

10 [Slide]

11 What about developing new chemical
12 entities? You have to do two things. You have to
13 show that it is effective, and it will depend on
14 how easy it is to do these studies whether they are
15 mild, serious or severe infections that you are
16 looking at. Right now we are required to do two
17 well-controlled trials with an appropriate delta.
18 I don't want to get into the delta. I think we
19 dealt with that in February. You need over a
20 thousand patients with a new chemical entity. That
21 means that you are going to have a study of between
22 2,500 and 3,000 total patients. If you take our
23 cost rate now which, it is very expensive and it is
24 getting more expensive to do these studies. That
25 is one of the reasons that a number of companies

1 are looking at this very carefully and pulling out
2 of this area.

3 [Slide]

4 It is particularly a problem when you are
5 going after resistant organisms which are very
6 difficult to locate in clinical trials. We have
7 had a clinical trial now going for about 15 months,
8 looking at comparative studies to find treatment
9 for VRE. To date we have spent over five million
10 dollars. We estimate it is going to take almost 23
11 million to complete a 360-patient study.

12 [Slide]

13 If I look at this and start to look at my
14 return on investment, my chief financial officer
15 will start shuddering when he sees the cost. We
16 have screened almost 2000 patients; only 42 were
17 eligible for enrollment; only 22 of them had VRE.
18 So, to date it has cost us \$250,000 a patient.
19 This is a staggering cost and one that many
20 companies will not undertake. One has to look at
21 the way we have constructed our studies now and see
22 if there is an alternative way where we could bring
23 these studies in more cost effectively and quicker.

24 [Slide]

25 We have looked at some of the action items

1 that we discussed, that David Ross discussed at the
2 February meetings, and there is a Subpart E to
3 accelerate enrollment using surrogate endpoints.
4 We have looked at this but I think it is very hard
5 to have a surrogate endpoint with a bacterial
6 infection, and the endpoint is to eradicate the
7 resistant pathogen. Animal models are not
8 appropriate surrogates. Bill Craig will get into
9 this later in the day; it is a guide to the
10 clinical trials that you can do.

11 Using susceptible pathogens if the
12 virulence of the susceptible pathogen is the same
13 as the resistant pathogen would be an appropriate
14 guideline. In the development of piperacillin
15 tazobactam, when I was with Lederle, those were the
16 criteria that were used. We studied 3000 patients
17 with pip-tazo and only 256 fit the criteria but we
18 were still able to get indications using the
19 surrogate markers in specific small numbers of
20 bacteria in each of the indications that were
21 actually piperacillin resistant and pip-tazo
22 susceptible.

23 I think the second potential surrogate
24 that we could look at is the time to oral switch
25 for IV only drugs. This is an area I think we

1 should look at in the future. You switch to oral
2 therapy because you have had a successful outcome
3 with the IV drug and the patient no longer needs
4 that and you go home. But right now if you switch
5 from an IV drug to a different class of oral drug
6 it has to be classified as non-evaluable and a
7 failure.

8 [Slide]

9 There are other action items to promote
10 development of drugs for resistant organisms. When
11 you look at MRSA the incidence is so high that it
12 is easy. You can get MRSA in a number of different
13 indications, including complicated skin infections,
14 bacteremia and nosocomial pneumonia. However, for
15 VRE the incidence is low and trying to locate the
16 patients is very difficult, and it drives the need
17 for a microbiological claim which gets to be very
18 cumbersome because you are collecting the VRE from
19 a number of different areas and it puts you into a
20 quandary.

21 [Slide]

22 Again, you want to promote appropriate use
23 of the drugs. I think that is something that we
24 all agree to around the table. But restricting it
25 just to resistant organisms--it would be okay for

1 MRSA because there is a large market and you could
2 probably have a positive return on investment, but
3 you won't for VRE because it is more of a niche
4 product and people won't invest the money to get
5 compounds in this particular area. I think
6 products with safety issues that are active against
7 resistant pathogens will be restricted because of
8 the safety issue and IV only drugs will be
9 restricted to hospital use. So, I think there are
10 some built-in mechanisms in the molecules
11 themselves that will restrict the agents some and
12 actually delay the emergence of resistance.

13 [Slide]

14 Thinking about this, I was thinking there
15 are three actual points that I would like to look
16 at. One is with serious infections, following up
17 on George McCracken's talk on meningitis in
18 February, and looking at endocarditis. These are
19 diseases where a microbiological endpoint is the
20 key, and I think clearing of the cerebrospinal
21 fluid or the blood of the pathogens, and no relapse
22 after you stop therapy is really a clear endpoint.
23 It is something that Dick Wenzel was just talking
24 about.

25 What about surrogate endpoints? I think

1 we have to reevaluate and look and use susceptible
2 and resistant isolates, gather the data on both,
3 and some of the susceptible can be the surrogate
4 for the resistance. In this way, with low
5 frequency isolation of resistant organisms you can
6 get an idea if this new chemical entity works in
7 this disease. The microbiological claim is what
8 number of resistant isolates do you need in the
9 overall population. Currently, our VRE study is
10 only for VRE. So, it is going to take us a long
11 time to complete that particular study.

12 Finally, I think the requirement for two
13 well-controlled studies for each indication has to
14 be revisited and be carefully evaluated. Can you
15 use the two well-controlled studies in two
16 different systems? I think that is going to depend
17 upon looking at the pharmacokinetics of the
18 particular agent that you are developing.

19 [Slide]

20 Finally, to justify the high investment in
21 the development of these drugs the drug's activity
22 should really be based on its safety pattern and
23 its effectiveness in well-designed clinical trials.
24 I think what industry and regulatory agencies have
25 to do is really to join together in dialogue so we

1 can design studies to get these new agents rapidly
2 evaluated as to whether or not they are effective
3 against resistant pathogens. Thank you.

4 DR. EDWARDS: Thank you very much, Frank.

5 Next I will call on Ed Cox, from the FDA. Ed?

6 FDA Presentation

7 DR. COX: Good morning.

8 [Slide]

9 Following the talks of Dr. Wenzel and Dr.
10 Tally, what I will try and do is try and focus on
11 some of the issues that we would like to have
12 discussed today, and try and highlight those in the
13 slides that follow. Dr. Wenzel and Dr. Tally have
14 already talked about a number of the issues that
15 are important with regards to drug development for
16 resistant pathogens.

17 [Slide]

18 The first issue that we would like some
19 input on and discussion from the workshop group is
20 how do we identify resistant pathogens of public
21 health importance? This goes to the issue of which
22 resistant pathogens rise to the level of posing a
23 significant public health problem and a specific
24 indication such that a claim would be reasonable to
25 consider. Given that antimicrobial resistance is a

1 dynamic process that evolves over time, this raises
2 the question of how would we identify these
3 resistant pathogens.

4 [Slide]

5 One approach to this question might be to
6 use a characteristics-based approach to the
7 identification of resistant pathogens that pose
8 significant public health problems within a
9 particular indication. On the next slide I will
10 actually show some of the characteristics that
11 might be considered in identifying these types of
12 pathogens. It is important to notice that a
13 resistant pathogen might meet some but not
14 necessarily all the characteristics that I will
15 show on the next slide.

16 [Slide]

17 Some of the characteristics that might be
18 considered in identifying a resistant pathogen of
19 public health importance would include that the
20 organism is one of sufficient prevalence in the
21 disease under study; that the organism is one of
22 sufficient virulence in the disease under study;
23 that there are data to show that resistance affects
24 outcomes; and the presence of resistance in the
25 pathogen that is being studied.

1 Another question is, is the drug that is
2 the subject of the resistant pathogen claim one
3 that is commonly used to treat infections due to
4 the organism? Are there an insufficient number or
5 lack of therapeutic alternatives to treat the
6 resistant pathogen of interest?

7 Then there is the related issue of is the
8 organism resistant to multiple drug classes, in
9 essence, narrowing the choice of therapeutic
10 options. Then, other characteristics might include
11 does the presence of resistance in the organism
12 affect therapeutic decision-making? Then, another
13 issue is, is the drug an essential treatment to
14 prevent spread of disease within a population? An
15 example would be a disease like tuberculosis where
16 resistance to an essential therapeutic agent might
17 lead to ineffective therapy which could result in
18 spread of TB throughout a population.

19 [Slide]

20 There have been resistant pathogens for
21 which we have previously awarded claims, for
22 example, penicillin-resistant Streptococcus
23 pneumonia; vancomycin-resistant enterococcus.
24 Undoubtedly, some of the characteristics that I
25 discussed on the preceding slide were considered in

1 these claims.

2 For resistant pathogens which have not
3 been previously the subject of prior claims, the
4 sponsor might submit data to address the
5 characteristics of the particular resistant
6 pathogen claim that is being sought to address the
7 question of whether the resistant pathogen is one
8 that causes a significant public health problem in
9 the indications under study. This is an area too
10 where we would like some discussion from the group
11 here today, and other proposals as to how we might
12 identify or address the question of how do we
13 identify resistant pathogens of public health
14 importance.

15 [Slide]

16 We have had several prior FDA meetings
17 that have talked to the issue of antimicrobial
18 resistance in drug development. Some of the
19 meetings have been general meetings that have
20 discussed antimicrobial resistance. Then, we have
21 also had product-specific meetings with products
22 seeking claims for particular resistant pathogens
23 in specific indications. It is on this framework
24 that we wish to further build with regards to the
25 development of drugs for resistant pathogens and

1 the approaches that might be taken in a development
2 program.

3 [Slide]

4 For a drug that is actually, as part of
5 its clinical development program, seeking a claim
6 for a resistant pathogen, a key portion of the data
7 is the clinical data that provides evidence of the
8 safety and efficacy of the drug based upon clinical
9 outcomes and microbiologic outcomes within the
10 target indication.

11 Not shown on the slide, but something I
12 will come to in subsequent slides, is the issue of
13 what role can data from other indications play in
14 supporting the agent's safety and efficacy?

15 While there are still unresolved issues
16 with regards to the use of in vitro data, data from
17 animal models of infection and PK/PD data, we are
18 also interested in discussion that talks to the
19 weight of evidence that these other types of data
20 might be able to provide, an issue that I will
21 comment on in subsequent slides.

22 [Slide]

23 Then, with regards to assessing the data
24 from a drug development program for an agent that
25 is seeking a particular resistant pathogen claim,

1 certainly one way to look at the data that helps to
2 address the issue of how the agent fares in
3 treating the particular body site of infection is
4 to look at how the agent fares in treating the
5 particular indication. For example, is the drug a
6 good drug for the treatment of community-acquired
7 pneumonia? Then, moving down to a finer focus
8 would be to see how the drug fares in treating the
9 pathogen of interest, and this would be including
10 susceptible strains of the pathogen, and then to
11 the question of how does the drug work in treating
12 more serious infections in the indication of
13 interest. For example, how does the drug work in
14 treating bacteremic cases of pneumonia? Then
15 moving down to the issue of how do the clinical
16 data shake out with regards to how the drug works
17 in treating the resistant pathogen of interest?

18 [Slide]

19 Coming back to the issue of to what degree
20 can we rely on data other than clinical outcomes
21 data, here I am referring to PK/PD data, in vitro
22 data and animal model data for the subject
23 resistant pathogen in the target indication to
24 provide support for a resistant pathogen claim.
25 Then, also asking this question again with regards

1 to what level of evidence these types of data can
2 provide for out-of-class resistance claims, for
3 example, a fluoroquinolone seeking a claim for
4 penicillin-resistant *Streptococcus pneumonia*,
5 versus in-class resistance claim such as a
6 glycopeptide seeking a claim for
7 vancomycin-resistant enterococcus. This is an
8 issue that we hope to have some discussion on here
9 today.

10 [Slide]

11 Then, the question of how might we use
12 data from other indications, and what role can
13 these efficacy data from other indications for the
14 same resistant organism play in supporting efficacy
15 for the drug seeking a resistant pathogen claim?
16 Just some examples, can data from a
17 hospital-acquired pneumonia study support a
18 community-acquired pneumonia indication? Can
19 meningitis data support community pneumonia? Can
20 CAP data support meningitis? Could, for instance,
21 data from complicated skin structure infections
22 support hospital-acquired pneumonia?

23 [Slide]

24 Across these different types of
25 indications there are factors to consider when

1 weighing what the data from one indication might
2 portend for the data from another indication. I
3 think the thought processes that we are going
4 through in looking at some of those examples are,
5 you know, are there similarities of the disease
6 process across the disease sites?

7 This relates to the organs and tissues
8 involved, the similarities and the types of
9 infections that the conditions involve; the drug
10 levels achieved in these tissues; the spectrum of
11 disease severity in the different indications.
12 Then, host differences that might exist because of
13 differences in the types of host that may have
14 infections manifested in different body sites.
15 Then, a last issue to mention is the certainty of
16 diagnosis across these differing sites. For
17 example, a blood stream infection as compared to an
18 infection diagnosed from a non-sterile body site,
19 such as sputum, and how the differences in the
20 certainty of diagnosis across different sites might
21 influence the weight of evidence from data from
22 other indications.

23 [Slide]

24 With that, I want to turn it back to Dr.
25 Edwards and he will take us through the points for

1 discussions which will mirror the points that I
2 have gone through in the preceding slides. Thank
3 you.

4 Discussion

5 DR. EDWARDS: Thank you very much, Ed.

6 [Slide]

7 The major points for discussion are listed
8 on this slide. We are going to work through this
9 list during our hour-long discussion period as
10 thoroughly as we can. Let me open by asking Dick
11 to comment further on the first issue here
12 regarding identification of an organism a public
13 health importance.

14 DR. WENZEL: Well, there are a number of
15 people who have been interested in surveillance
16 activities. Obviously, CDC has a number of
17 surveillance operations going on. I mentioned the
18 SCOPE study. There are a number of privately
19 funded, that is through PhRMA, surveillance
20 systems. I think it seems like an essential
21 component of any public health program that we know
22 what is going on, that we don't just know
23 prevalence but I think the prevalence of the
24 disease or the organism, the prevalence of
25 resistance should be included, and other

1 epidemiologic features such as outcome because I
2 think you can then link the organism, the
3 infection, the resistance and life, death and
4 quality of life issues in the same way.

5 So, I think we have to continue to
6 encourage effective surveillance, effective meaning
7 that it is validated somewhere along the line and
8 we don't just call up people and say tell me what's
9 in your lab, but somehow we have validation steps
10 in there. The danger is with computer error and we
11 can just have someone send the databases but if in
12 some way they are not valid, that is, we have
13 duplicate organisms or improper testing, all the
14 issues that people around the table know very well.
15 So, again, I would emphasize whatever we can do to
16 encourage active surveillance that has been
17 validated. Jack, I am not sure if I addressed
18 everything you wanted but we can come back if
19 people have issues.

20 DR. EDWARDS: Todd, would you have any
21 comments about what Dick just said?

22 DR. WEBER: Well, I think he stated it
23 quite well. As he said, there are a lot of
24 different surveillance systems, some of which are
25 quite robust and that can collect the kind of

1 information down to outcomes and other details.
2 There are others that skim the surface somewhat in
3 the sense of collecting strictly microbiologic data
4 or just a few other data points.

5 Clearly, the more robust you get the more
6 labor-intensive and expensive such a surveillance
7 system is. None of these things really happens
8 automatically. There is no magic system in place
9 where these data can be automatically downloaded or
10 collected, especially when you get out of the
11 microbiology laboratory where at least there are
12 some automated systems. But even there, there is a
13 wide variety of systems that don't necessarily
14 communicate with each other and certainly don't
15 necessarily communicate with state or federal
16 groups that want to collect those data.

17 You know, I can't say much more but
18 certainly we would like to know better the
19 prevalence or incidence of drug resistant
20 organisms, more than we do today. There are few
21 organisms for which I think we have very good data
22 but it is certainly not nationwide, not in all
23 populations that might be of interest. Health
24 departments and also, of course, the funds
25 available to set up those systems--CDC has a number

1 of projects under way to try to create common data
2 elements and reporting from microbiology
3 laboratories, etc., and state health departments,
4 but that is really not completely in place yet and
5 is not going to be a panacea even when it is
6 finished.

7 DR. ECHOLS: Jack, are you looking for a
8 threshold, not just systems in place to identify
9 prevalent or resistant pathogens but what is the
10 magic threshold that then qualifies a bug for
11 public health importance that then might allow a
12 different track in terms of drug development?

13 I am struck by Dick's presentation. I
14 mean, he has two cases of Staph. aureus in patients
15 and I think by anybody's calculations that is not a
16 very high prevalence but it still has I think
17 significance given what we know about the transfer
18 of resistance. And, if we wait until it becomes a
19 ten percent prevalence the animals are out of the
20 barn and we are way behind the eight ball.

21 So, is the question here is there a
22 prevalence, or do we need some other way of
23 determining and integrating the clinical importance
24 of a particular pathogen that then might have it be
25 on a different track in terms of drug development?

1 DR. DERESINSKI: Can I say the example is
2 important because I think what it points out is
3 that it isn't just the crude numbers, it also
4 involves the virulence of the organism, that is for
5 instance, the mortality it causes, and also perhaps
6 the mechanism by which resistance can be passed
7 from one organism to another. We saw that very
8 rapid spread of plasmid resistance in
9 entero-bacteriaceae because of the ability to
10 spread across species. These two cases of
11 resistant Staph. aureus are an excellent example of
12 why just crude numbers aren't sufficient.

13 DR. EDWARDS: Yes, George?

14 DR. TALBOT: Yes, further to those points,
15 thinking along exactly the same lines, I think that
16 focusing on prevalence alone, although it is
17 extremely important for the reasons that Dr. Wenzel
18 mentioned, can lead to some pitfalls. First of
19 all, it does not necessarily reflect the patient
20 and public health impact of a particular organism
21 in a specific area. I think of, for example,
22 acinetobacter in New York where the burden on the
23 healthcare system and on the patients is huge. So,
24 relying on prevalence alone in that instance can be
25 very misleading.

1 Second of all, as Roger mentioned, if you
2 rely on prevalence as the trigger for
3 decision-making you are inevitably going to be in a
4 reactive situation. Frank mentioned the point
5 about the emerging gram-negatives and I think that
6 that is closely linked there. If we wait until the
7 prevalence of a certain gram-negative resistant
8 pathogen reaches a "critical" level, given the time
9 it takes industry to respond in a reactive fashion,
10 it is going to be a problem.

11 DR. GILBERT: I wanted to mention another
12 CDC-funded endeavor which I think has the potential
13 of helping answer this question and bring some
14 clinical relevance to the issues that are being
15 discussed. We have these clinical microbiology
16 survey surveillance mechanisms in place. They have
17 already been mentioned. In addition, there is what
18 is called the emerging infection network, which is
19 a contract between CDC and about a thousand ID
20 consultants around the country that are perfectly
21 strategically situated to answer some of the
22 questions that are being asked here. How many of
23 these resistant pathogens are you seeing? How
24 virulent are they? How many documented failures
25 have you seen, etc., etc.? In my view, it is an

1 under-utilized resource that could help address
2 many of the questions that have so far been raised.

3 Then slightly on a different subject, I
4 think the question of when does it become
5 important, and there are many factors obviously but
6 one is when it begins to influence how we handle
7 the drugs as, for example, the
8 methicillin-resistant staph. In many hospitals
9 around the country now for prophylaxis, for example
10 for open heart surgery or artificial joint surgery,
11 for 15 years, 20 years we have relied on cefazolin.
12 In many institutions now where the prevalence of
13 MRSA is in the 20 percent range the physicians,
14 feeling responsible for their patients, are using
15 vanco., which increases the metric tonnage which
16 has already been mentioned. So, it does have an
17 impact. Whether that number is 10 percent or 20
18 percent, I don't know but it has an impact on
19 clinical practice.

20 DR. ECHOLS: In preparation for this
21 meeting there was a list of organisms that was
22 being generated. I don't know, John, if you want
23 to comment on where that list is and if the agency
24 is looking to perhaps use the clinical evidence
25 from the experts to create a list of target

1 organisms that might be managed differently in
2 terms of development.

3 DR. POWERS: Roger, we thought that
4 perhaps it would be best--rather than come up with
5 that particular list today because changing
6 resistance is such a dynamic thing, perhaps it
7 would be good to talk about the characteristics
8 that would get an organism onto such a list, as a
9 starting point today, given the limited amount of
10 time that we have.

11 There are two ways to look at this. One
12 is that there are organisms for which we have
13 already granted indications, which is probably not
14 that debatable and, in fact, beta-lactamase
15 producing *Haemophilus influenzae* has been around
16 for 30 years and we still grant indications for
17 that all the time versus looking at newer things,
18 emerging pathogens with resistance and how does one
19 get onto that list.

20 When we came up with these seven things we
21 didn't mean that an organism would have to meet all
22 of these. We are talking about these as some
23 pieces of the puzzle. For instance, when we were
24 thinking about this VRSA came up clearly and it
25 doesn't meet the prevalence issue but certainly

1 meets the virulence one. So, we thought today, in
2 the time that we have, we could talk about it and
3 if you want to cite specific examples of organisms
4 that would go on such a list it would be more than
5 helpful to approach it that way today.

6 DR. ECHOLS: Again, I am not so interested
7 in the list of organisms but more the concept of
8 whether the agency would be willing to commit to
9 creating such a list that then could provide
10 direction for drug development. I mean, certainly
11 to get a bug on the list might require a certain
12 threshold of evidence but then, you know, if
13 someone starts development you wouldn't want to see
14 that list change six months later and all of a
15 sudden have the bug off the list for some other
16 reason.

17 DR. POWERS: I think that is the danger of
18 the list. It is so dynamic and the drug
19 development process lasts for such a period of time
20 that, for instance, if somebody started developing
21 a drug for Staph. aureus by the time they finish
22 it, you know, it is not a problem anymore.
23 Penicillin producing Staph. aureus became a problem
24 rather quickly. That could certainly happen again
25 for another organism. By the time you finish a

1 development program or somebody else develops a
2 couple more drugs then the issue is moot at that
3 point.

4 DR. GILBERT: Roger, are you talking about
5 having the list to somehow incentivize development?

6 DR. ECHOLS: Both incentivize but it is
7 more having a clear target. I am not trying to
8 sort of keep on this subject but once we identify
9 what is an important target to go after, the
10 question is do we come up with novel ways, in other
11 words other than our traditional drug development?
12 Do we come up with some innovative ways that can
13 facilitate development of those drugs rather than
14 going through what now is a very cumbersome process
15 and, as Frank pointed out, almost an impossible
16 task when you have a low prevalence of an organism
17 to really study that within the context of
18 randomized controlled trials? So, I am not looking
19 just for a list but for a way of identifying a
20 different track for drug development utilizing
21 other tools rather than the randomized controlled
22 trial.

23 DR. WEBER: I am sure that there are
24 enough people in this room to come up with such a
25 list. Agencies have the experts too to come up

1 with such a list. But I don't think any list is
2 going to be useful without the addition of common
3 sense. You know, listening to what you are saying,
4 there are a couple of examples that I could imagine
5 would cause trouble for you. Suppose a company
6 decided that drug resistance to Streptococcus
7 pneumoniae in infants was clearly a prevalent
8 problem needing a new drug and they start work on
9 it and put millions of dollars into it, well, now
10 they have the conjugate pneumococcal vaccine and it
11 is starting to have an impact and it may well wipe
12 it out after some number of years. I don't know.

13 You know, is that the fault of the list
14 makers? No. Is that something that should take
15 that bug off the list? Eventually perhaps. But it
16 is not something that is entirely predictable and I
17 think it is also something where I am sure any
18 company could sort of see the writing on the wall
19 for something like that. So, I think there are
20 going to be instances where other events change the
21 importance of these bugs. Another example might be
22 opportunistic infections in HIV patients. The
23 extent of those problems and drug resistance in
24 those problems may have been, at least for the time
25 being, obviated by improved retroviral therapy and

1 all of a sudden those infections are gone.

2 So, I don't think the companies are naive
3 about those other events so any list is going to be
4 fluid because things are going to happen that may
5 or may not be related to drug development itself.

6 DR. WENZEL: To come back to thinking more
7 about Roger's question, I mean, if we agree that
8 some type of valid surveillance is the starting
9 point, I think from there we ask the question do we
10 have a public health threat, and public health
11 threat can be defined several ways. One is impact,
12 that is outcome, mortality and morbidity. The
13 second, as we have heard already, is transmission
14 probability. The third is available options for
15 therapy. I think we could come up with some or all
16 of these sort of measures that this is a public
17 health threat. It may not be realized yet.

18 At that point, to come back to Roger's
19 question, if this is a public health threat then a
20 public health response might be reasonable, that
21 there be incentives for PhRMA to then come up with
22 protocols to begin work on agents that might be
23 used effectively for that. Just as we all go to
24 the NIH for grants to study issues, there might be
25 some mechanism that we could come up with that

1 would encourage competition, if you will, for
2 protocols for developing a response to identifying
3 a public health threat that the government might be
4 willing to step in and help support.

5 DR. EDWARDS: Let me add to that, and then
6 I want to ask Roger and Frank a question. NIH does
7 have a list of entities that they encourage
8 competition for research on which is actually
9 derived through a very elaborate mechanism.

10 I am going to make a presumption here and,
11 hopefully, you two will react to it. My guess is
12 that you would be very much in favor of seeing some
13 sort of a list that FDA valued and adhered to of
14 important pathogens and encouraged competition for
15 and were then able to focus development on that
16 specific list with the presumption that that list
17 would be relatively stable within a realistic
18 developmental time.

19 Is that a fair assumption? What I am
20 asking is not to explore the mechanism but just,
21 let's say, the existence of such a list that would
22 be desirable to focus on. Is that a fair
23 assumption, Roger?

24 DR. ECHOLS: I think there are lots of
25 things that help pharmaceutical companies develop

1 drugs and clarity is one of them. I mean
2 ultimately we talk about return on investment but
3 before you can get there, or even think about that
4 you have to have some clarity around what it is you
5 are trying to achieve and really the intermediary
6 step is what you can get in the label. That is
7 really the short-term objective. If by chance
8 disease changes so the prevalence and the return on
9 investment isn't there, so be it. I mean that is
10 good for patients presumably.

11 But if we don't have clarity to begin with
12 we our organizations are almost paralyzed because
13 at a certain level we, sort of in the infectious
14 disease development, understand the issues and the
15 needs. When you try to translate that up to upper
16 management who don't have a sense of infectious
17 disease or the need they say, "well, show me. Show
18 me where it can get us something in the label.
19 Show me where it's something that can be
20 developed," and, again, I keep coming back to if
21 there are special pathogens that we want to go
22 after, is there a different track to get there?
23 That kind of clarity has to begin with identifying
24 what the pathogens are.

25 DR. COCHETTO: Dr. Edwards, I will try to

1 add to that. I think the speakers this morning
2 brought good information to bear on this. The
3 roster of characteristics that we are looking at I
4 think is very helpful and the characteristics are,
5 in my mind, likely to be durable and I think that
6 is quite useful. I think in the discussion we have
7 heard good supplements to that roster of
8 characteristics. Certainly common sense is a good
9 supplement for any such roster and I would be in
10 favor of adding that one. Attention to the
11 mechanism of passing resistance is obviously
12 important. Data to show the relationship between
13 in vitro resistance and clinical outcome would be
14 helpful information and, you know, the bottom line,
15 the actual point that resistance is impacting
16 practice patterns would be informative.

17 So, I think those expansions to the roster
18 of characteristics are quite helpful. In terms of
19 a list of specific pathogens, I don't know whether
20 that is FDA's responsibility or other agencies' but
21 the inter-agency task force does exist and Dr.
22 Tally showed a couple of slides that, I suspect,
23 most folks around the table this morning would
24 agree are pretty good contemporary targets. That
25 is not to say every single one of those pathogens

1 would be an important target five years from now
2 but probably today I suspect we could agree that
3 that is a pretty accurate contemporary list.

4 DR. TALLY: Yes, about trying to convince
5 upper management in big pharmaceutical companies,
6 and having done that before, it is a difficult
7 task. The constituencies that the biotech
8 companies have to satisfy are actually the public
9 market, the people that are giving money to try and
10 invest in that particular company.

11 Again, they want the same thing that Roger
12 just talked about, clarity. If there is clarity,
13 you can then build a story around the development
14 to be able to raise the amount of money to be able
15 to spend 200-300 million dollars that it takes to
16 bring a drug to the marketplace. I think that is
17 one of the things that you are headed for.

18 Possibly I think one of the things that
19 Roger didn't say was are compounds being developed
20 for this "list" of pathogens and when it goes to
21 the agency is it going to get an expedited review
22 or is it going to go into the regular review
23 system? That may be a criterion that if the bug
24 goes on that list, then there is a high probability
25 because now you only know after you submit your

1 application and request it whether that is going to
2 happen. Nothing has to be absolute in this life
3 but if it has a very high probability that it will
4 be an expedited review if you have the proper
5 material to support that review, I think having
6 that clarity does help get the resources to be able
7 to develop these new agents.

8 DR. EDWARDS: Yes, John?

9 DR. POWERS: I think the issue of clarity
10 is what we are looking for as well. As, Frank, you
11 showed on your slide, there seem to be organisms
12 that would appear to clearly go on anyone's list,
13 multi-drug resistant gram-negative rods;
14 methicillin-resistant Staph. aureus. Where we
15 struggle and where we try to use these seven things
16 is an example like macrolide resistant
17 Streptococcus pneumoniae where one could argue that
18 certainly it is of sufficient prevalence, but we
19 get to that last bullet on the slide and that is,
20 is there data demonstrating that there is actually
21 a correlation with in vitro resistance with
22 clinical outcomes?

23 Again, this becomes an issue as well when
24 Dr. Wenzel showed something like the MICs for VRSA,
25 which are clearly well above what you could achieve

1 in a human being, versus the story we saw with
2 penicillin-resistant *Streptococcus pneumoniae* and
3 some data showing that the original breakpoints
4 specifically for cephalosporins, which the NCCLS
5 has now changed, didn't correlate with clinical
6 outcomes at all. So, we struggle with some things
7 and I would like to hear what the group says about
8 this, like macrolide resistant *Streptococcus*
9 *pneumoniae*. There are case reports of people
10 failing, but certainly there are people who fail
11 with cephalosporin-resistant *Strep. pneumo.* and who
12 die anyway, given host effects etc.

13 So, to answer your question, Roger, I
14 think there are some clear no-brainers that go on
15 the list but then why we want to use these seven
16 criteria is because what do we do with the cases
17 that aren't so clear, with macrolide resistant
18 *Streptococcus pneumoniae* really being the example
19 that we are struggling with currently?

20 DR. GILBERT: I couldn't agree more and
21 that is why you have to have a link to the clinical
22 world, however you want to establish that.

23 DR. DERESINSKI: I think you also have to
24 look at this, as we have, as a dynamic event, with
25 the assumption that whatever level of resistance

1 you have now will be worse in the future. As was
2 pointed out, it is important to anticipate the
3 future in this circumstance because of the long
4 lead time in developing products. So, where we are
5 now is not where we are going to be ten years from
6 now with these organisms.

7 DR. GESSER: I just want to support that
8 concept in a very strong way. We are where we are
9 today because of decisions we have made in the
10 past, and the question is should we use that same
11 process to move forward or should we use a
12 different thought process to move ahead from here.

13 Regarding the list, I think clarity is a
14 concept that all of us are striving for.

15 Certainly, it is the purpose of this meeting I
16 guess. The value of that can't be overemphasized.
17 I am sure for reviewers to have a clear structure
18 as a basis for review for regulatory decisions is
19 important. For developers that is essentially in
20 the early phase of development. You heard that to
21 get resources, not just money but people on board,
22 a development program established or supported to
23 pursue a particular area, that takes time. Then,
24 it takes a substantial period of time to carry
25 through the development process and ultimately,

1 hopefully, successful filing.

2 So, there is a kinetic process here. I am
3 concerned when we say that, you know, we don't have
4 a validated surveillance system yet. We all accept
5 the limitations of the current surveillance system
6 but that shouldn't stymie us from moving forward.
7 I think it is a problem that needs to be addressed
8 but I think, again, we need to apply the knowledge
9 that we have at this moment to moving forward
10 possibly along a different paradigm than we have in
11 the past.

12 DR. EDWARDS: John?

13 DR. BRADLEY: I would just like to make a
14 comment pulling together a couple of different
15 concepts, the return on investment is something
16 that has been brought up repeatedly, and the
17 concept of resistant organisms in the United
18 States, which certainly is the problem we have to
19 deal with but I want to bring a global perspective
20 into this. Even though we have universal use of
21 the Haemophilus type B vaccines and are having
22 increased use of pneumococcal vaccines, the last
23 two large meningitis trials that we participated in
24 were multinational and most of the patients
25 actually came from outside of the U.S. So, the

1 concept of return on investment I think can be
2 looked at on a global scale. I am not an
3 accountant and I am not versed in these sorts of
4 concepts, but it seems as though to track approval
5 of a drug for a return on investment that may not
6 only come from the U.S. but from the rest of the
7 world could be a consideration in all of this.

8 DR. EDWARDS: Would anyone from PhRMA like
9 to comment on that notion?

10 DR. POUPARD: I would also like to comment
11 on the question that was raised about clinical
12 outcomes. I guess I am concerned because the
13 impact of a lot of this surveillance data would be
14 are the MICs increasing because, from a public
15 health standpoint, these are the things that we
16 have to plan ahead for in drug development. The
17 comment was you are impressed with the MICs of 128
18 because they are, without a doubt, resistant. But
19 you have the issue of, you know, they predicted at
20 one stage that penicillin would level off at MICs
21 of 2 and maybe 4 and now we see 8's and 16's.

22 So, I am a little concerned. I think
23 surveillance can give you a lot of that data. We
24 are talking about surveillance as susceptible
25 percent resistant, but it can also tell you the

1 trend and that this is increasing and that, for
2 drug development, is really the key. To wait to
3 say, well, yes, now it has reached the point where
4 it is affecting the clinical outcome--again, to get
5 back to reinforcing it, it is too late at that
6 stage.

7 DR. EDWARDS: Comments on global
8 stimulating, incentivizing?

9 DR. ECHOLS: I will just make a general
10 comment. Global development is difficult to put in
11 perspective for small companies unless they have
12 partners, but even for big companies the
13 marketplace outside the U.S. is a whole lot less
14 free in terms of pricing, and reimbursement, and
15 patent protection and everything else. As much as
16 there is certainly equal, if not greater, need in
17 infectious diseases, I would say that the
18 companies, when they are making their return on
19 investment calculations, don't place too much
20 emphasis on sales outside the U.S. I say that
21 knowing that someone will say just the opposite.
22 Certainly, in our company antibiotics in sales
23 globally are very important products and relatively
24 even more important than they are in the U.S., but
25 when you still look at all the uncertainties of

1 monetary, of patents, of laws, of pirates you don't
2 plan on big-time return on investment just from
3 outside the U.S. sales. If it can't do well in the
4 U.S. it is probably not going to get developed.
5 That is my opinion.

6 DR. EDWARDS: Other comments?

7 DR. YOUNG: I just wanted to pick up on a
8 comment that you had made, John, and that is that I
9 do think we also need to just look at this from two
10 different perspectives when we consider the
11 characteristics of a particular organism in terms
12 of its public health significance. That is, there
13 is both a population-based perspective in terms of
14 understanding what the impact is on a large
15 population, but I think there is also the
16 perspective of the individual patient. I think
17 that is sort of the quandary that we find ourselves
18 in. You know, from an individual patient's
19 perspective that particular isolate or macrolide
20 resistant *Strep. pneumoniae* may in fact be very
21 important and may trigger changes to the management
22 of that particular patient. So, again, that is
23 sort of something that we think about as well as we
24 wrestle with these issues.

25 DR. EDWARDS: Yes, Mike?

1 DR. SCHELD: I was just going to react to
2 something that you said, John, with regard to the
3 macrolide resistant pneumococci because even though
4 it may be difficult, I think what you will find is
5 that it does change physician behavior. The
6 problem I have is what is the most valid database,
7 robust database to get that information on how it
8 changes physician behavior.

9 Another example might be
10 quinolone-resistant pneumococci which, if one
11 database is to be believed, more than doubled, even
12 though it is small, in the last year, from 1.4 to
13 around 3.2 percent of pneumococci, and we view that
14 in our community as a major public health threat
15 even though we are not using quinolones as
16 first-line treatment for pneumococcal infection.
17 If we allow quinolones to be used in pediatric
18 disease, in otitis media will that be the driver
19 that makes that go right through the roof? I think
20 those are things that we need to be concerned about
21 as a community, but also it is going to drive
22 decisions on whether they develop a new drug for a
23 pediatric indication.

24 DR. EDWARDS: Frank?

25 DR. TALLY: Coming back to what John said,

1 I think there are no-brainers and you put them on
2 the list. I think one of the questions I heard you
3 ask is what type of data do you need brought to you
4 for these marginal ones, and how can we best get
5 that. I think this is why it was important to have
6 IDSA at this meeting to try to give some feedback.
7 There have to be systems out there to bring data on
8 the importance of these marginal types of
9 resistances.

10 DR. ECHOLS: By systems you mean ways to
11 recognize the in vivo activity of the drug which,
12 again, may be somewhat different from our normal
13 drug development process?

14 DR. TALLY: If you look at that last
15 bullet point, we will have tons of in vitro data.
16 You will know the prevalence of a particular
17 resistance. What you don't have is the clinical
18 data currently. A lot of times you won't have a
19 study really getting it for you and I think this is
20 the problem you are pointing out with it.

21 DR. EDWARDS: Yes, John?

22 DR. POWERS: I guess, Mike, to get back to
23 your point, with a lot of the issues that we come
24 up with sometimes we wonder if the changes in
25 physician prescribing patterns are really because

1 of a perceived clinical problem or because of an
2 actual one. For instance, the idea would come up
3 do people really use macrolides in severely ill
4 people with Streptococcus pneumoniae disease? And,
5 would an oral macrolide actually be used in that?
6 So, in other words, somebody wants to use an oral
7 macrolide or new macrolide that is actually good
8 for macrolide-resistant Strep. pneumo. but the oral
9 macrolide is use in the outpatient setting where
10 the level of resistance might actually be lower in
11 those patients, however, the clinicians might
12 change their prescribing patterns anyway just based
13 on the prevalence issue without the clinical data
14 showing that there actually is a change in clinical
15 outcome.

16 So, I wonder if sometimes we get into
17 circular reasoning where we are just looking at the
18 prescribing patterns. Just to sort of give you an
19 idea though, we are trying to look at this and the
20 FDA recently put out a contract where we are trying
21 to look at both the prevalence of resistance and
22 what are the organisms with these emerging
23 resistance patterns and trying to link that to
24 physician prescribing patterns as well.

25 DR. EDWARDS: Bill?

1 DR. CRAIG: Yes, I think you also have to
2 look at the patient population. I think if you
3 look at macrolide resistance where failures have
4 occurred, the great majority of them have been in
5 somewhat immunocompromised patients. That is a
6 situation in which the drug has to do all the work.
7 I also look at the MICs in the failures and they
8 tend to be relatively high. If it was just an
9 occasional failure that would be occurring I would
10 expect to see also some lower MICs occurring there
11 as well, which is not the case.

12 So, I think HIV patients are oftentimes
13 excluded from these clinical trials and right away
14 that patient population that may be at greatest
15 risk for macrolide resistance is actually being
16 excluded, and one is not collecting that kind of
17 data in a clinical trial.

18 DR. POWERS: I guess that is the point we
19 are trying to get at. We are not likely to see
20 this in clinical trials so we are trying to look
21 elsewhere to get that information. At the
22 inter-agency task force that was held at ICAAC we
23 had a global meeting. Todd, I believe you were
24 there. One of the issues that came up was we may
25 be able to get this information from other

1 countries, and one of the folks from Brazil
2 actually said they commonly use macrolides in
3 severe disease. Would it be helpful for us maybe
4 to get this information from somewhere else
5 because, Dr. Craig, I think you are right, we are
6 not going to see it in a clinical trial.

7 DR. CRAIG: Yes, and it also depends on
8 the mechanism. If it is MLSB and the MICs are
9 exceedingly high it is going to be a different
10 story than the efflux mechanism that we tend to see
11 in the United States.

12 DR. EDWARDS: I suspect that you are
13 constantly making a list of the no-brainers and
14 then grappling with the ones that aren't such
15 no-brainers where the real complexity comes. So,
16 there sort of is a list only it is not an official
17 list. That is creating some problems for someone
18 like Frank who likes clarity.

19 [Laughter]

20 I don't think we are answering a lot of
21 the questions that you want us to answer from these
22 bullets at this point in this discussion. Maybe I
23 am wrong but I don't think we are really getting
24 into the nitty-gritty. But in the best of all
25 possible worlds, would you like to have a list, and

1 how would you like to see it created, through what
2 mechanism?

3 DR. POWERS: I think our issue too is that
4 this list wouldn't just come from us. I think one
5 of the things that Todd and I have talked about is
6 that this would include some other partners besides
7 just the FDA to say what is an organism of public
8 health importance, keeping in mind the differences
9 between the surveillance issues versus the drug
10 development issues. But I think that is the idea
11 there. Maybe I had not thought about the
12 inter-agency task force as one way to maybe
13 actually tackle this.

14 DR. WEBER: The task force is obviously
15 large and all the agencies wouldn't have so much to
16 do with this but it depends on the arena. I guess
17 there are a couple of points I want to make based
18 on the recent discussion. One is that we are
19 talking about a list that has a column of numbers
20 too, are we not? That hasn't been said explicitly
21 but I am assuming that there can be a bug out there
22 that is highly resistant but of such low
23 prevalence, not that I can come up with an example,
24 but of low risk for transmission etc., that can be
25 on that list but that is really not of interest to

1 pharmaceutical companies. I mean, you want numbers
2 that give you some prevalence data with this, I am
3 assuming, but everyone just keeps talking about the
4 list and the names of the bugs and I am just
5 wondering if tacitly we are also talking about the
6 numbers, as good as we have them, for prevalence
7 and incidence.

8 I would like to just raise a point of
9 caution about outcomes, in that proof that outcomes
10 are severely worse with drug resistant infections
11 are few and far between, and I think the reason for
12 that is because there are still, for almost
13 everything, alternative drugs available. While
14 those alternative drugs still function, you may not
15 have data that show very bad outcomes in resistant
16 infections. That doesn't mean that we are not
17 going to reach an end-game at some point when we
18 run out of those available drugs and all of a
19 sudden outcomes, of course, are going to be quite
20 bad. But I think this speaks to a number of
21 people's points about anticipation in terms of
22 rising MICs, increasing multiple drug resistance,
23 etc. I think those things are quite important to
24 look at even in the absence of very good outcome
25 data that is going to show that there are worse

1 outcomes given someone's infection with a resistant
2 bug.

3 One other thing, again speaking in terms
4 of lists, we were talking about bugs with specific
5 patterns of resistance and I wonder if there isn't
6 either a second list or a sublist on mechanisms of
7 resistance that may really be what we would like to
8 know about, which is if there are certain
9 mechanisms of resistance that are becoming
10 prevalent in one or more organisms maybe that is
11 really what we are more interested in because that
12 is going to signal what the prevalence of
13 resistance to a certain drug or class is going to
14 be, not whether it is *Strep. pneumoniae* etc.

15 DR. GILBERT: Jack, can I address that?

16 DR. EDWARDS: Please.

17 DR. GILBERT: I have been waiting for an
18 opportunity to bring up a point that isn't quite on
19 this list. The point that John made about global
20 issues I think is relevant, number one. Not only
21 from a financial perspective but in terms of the
22 resistance issue. I mean we could wave a magic
23 wand and solve resistance in the U.S. by one or a
24 combination of mechanisms and yet resistance
25 continues to evolve in underdeveloped countries

1 which invariably would impact on our population as
2 well.

3 Using that a springboard, I am struck by,
4 and part of this is naive I admit, the lack of
5 apparent R&D at the basic level by industry. I
6 mean we have gotten increasingly sophisticated in
7 our understanding of the mechanisms by which
8 bacteria, fungi or viruses become resistant. Back
9 years ago when Staph. aureus--we came up with
10 beta-lactamase and we responded as a global group
11 interested in this. Now we know about efflux pump
12 inhibitors. We know about bacterial hypermutation
13 and I just learned about sex between enterococci
14 and thank you for keeping me sexually informed
15 here; I didn't know about the pheromones. But is
16 industry interested or incentivized to start at
17 this very grass roots level which ultimately will
18 or will not lead to products that come into
19 development? But it seems like there is a
20 disconnect here between major scientific advance
21 and then commercial application. Again, I may be
22 naive in that regard.

23 DR. GESSER: I would like to just make a
24 few comments. First of all, I am a "half-full" guy
25 and I think there is a lot of activity identifying

1 novel targets. Certainly the genome project and
2 the accessibility of those data have identified a
3 number of potentially interesting targets.

4 DR. GILBERT: Let me clarify the point of
5 my comment. There are novel targets, okay, a new
6 cell wall target and so forth. I am looking for a
7 magic drug that not only kills bugs but decreases
8 the risk of emergence of resistance. If you turn
9 off sex between bacteria you not only kill the bug
10 but you get rid of this global spread at the same
11 time.

12 DR. GESSER: Those are potential outcomes
13 that could be examined in the course of a clinical
14 trial. These are new concepts that people need to
15 investigate. But the potential to have new
16 chemical entities against novel targets I think is
17 there. The question is, is there a mechanism, and
18 are the resources available, and are the incentives
19 there to encourage that type of development? So, I
20 think that is an important issue and certainly
21 looking at things in a different way in terms of
22 selection for resistance or the incidence of
23 super-infection or new infections during clinical
24 trials is something that can be explored; something
25 that could be explored also with existing agents

1 outside of the pharmaceutical clinical trial.

2 I just wanted to touch on two other points
3 that I thought were important that were made. Todd
4 made both of them. The first one is that the
5 defined mechanism of resistance is important. I
6 think that, hopefully, that will come up in the
7 course of our discussion when we talk about
8 in-class versus out-of-class agents and clinical
9 development strategies and acceptable programs for
10 drugs in-class or out-of-class. To define that I
11 think you need to have a specific mechanism of
12 resistance that is pertinent to a particular class.

13 The other comment I wanted to make I guess
14 comes also from some of the comments that John
15 Bradley made as well. I think the example was
16 resistant Strep. pneumo. and will that change when
17 we have vaccine that is widely taken and the
18 epidemiology of the disease changes. It is still
19 important for the kid who has PRP meningitis, who
20 is looking for a drug that penetrates the CNS and
21 has great activity against that pathogen, who
22 hasn't yet received the vaccine.

23 So, you know, there are a number of issues
24 and I don't think the anticipation of widespread
25 vaccine use should restrict the way we think of

1 making this list and moving ahead. Certainly it is
2 a factor one would consider if one had to
3 prioritize one's resources, acknowledging that
4 there would be a major impact with a new
5 intervention coming down the road. But certainly
6 for that kid who had the infection I think there is
7 clear benefit of more potent and safe drugs.

8 DR. MILLER: I would like to add a little
9 bit to that. I think we have been talking mostly
10 today about factors which influence the development
11 of drugs, and I think we need to talk a little bit
12 about factors which influence the discovery of
13 drugs, which is an even earlier stage, as Dave
14 brought up. I think some of the same factors work
15 but I think there are additional things involved,
16 like for example, Dave, if you inhibit the sex
17 between organisms you don't actually kill them; you
18 make life a little less pleasant but it won't kill
19 them. It is a little more complex basically.

20 One of the things that Frank brought up I
21 think is a very special problem in the area of
22 discovering drugs for antibiotic resistant
23 organisms. That is, I think there is an unusual
24 disconnect between one of the things that one of my
25 old supervisors told me was really the most

1 important thing before you embarked on discovery of
2 a new class of agent or new agent basically, you
3 need two things. You need a scientific opportunity
4 and I think resistance mechanisms is one scientific
5 opportunity, and genomics is one approach to
6 looking for new targets that would be active
7 against resistant organisms. But the other thing
8 that you need is a medical need. The reason for
9 the medical need was if there was a medical need
10 there would be a financial opportunity.

11 I think in antibiotic resistant organisms
12 there is a bit of a disconnect between the medical
13 need and the financial opportunity available to us
14 basically, and I think it is probably an approach
15 that we hold new antibiotics active against
16 resistant organisms in reserve but we ought to
17 recognize that this has a terrible impact on
18 discovery of new antibiotic agents. I think the
19 idea of macrolide-resistant *Streptococcus*
20 *pneumoniae* not being very important perhaps has
21 already had a tremendous impact on several drug
22 discovery programs that I am aware of because it
23 was thought that this would be an appropriate
24 target. Perhaps the list was not very clear and we
25 used our own list basically but we thought that

1 that was an appropriate target, and if that is not
2 an appropriate target then those programs stopped.
3 In some cases that may have been the only program
4 within a given discovery research organization
5 basically, and that means the end of antibiotic
6 discovery in that organization.

7 I think we are going to have to take some
8 kind of recognition of this fact and provide some
9 kind of incentives for discovery programs to be
10 focused around resistance mechanisms and so forth.
11 I think the opportunity is not always great. One
12 of the speakers talked about acinetobacter and I
13 can remember that two or three years ago we had a
14 wonderful structural lead for antibiotics active
15 against acinetobacter and we talked about it, and
16 if that were the only advantage they had, then that
17 was clearly not big enough for a small company like
18 ourselves who maybe would be happy with a 25 or 50
19 million dollar drug, but it just wasn't going to be
20 enough if that was the only advantage we could
21 have.

22 DR. EDWARDS: Yes, Bill?

23 DR. CRAIG: Again, the interesting thing
24 would be what would happen if we had an oral
25 penicillin that we were trying to develop now.

1 Would we consider penicillin-resistant pneumococci
2 much of a significant problem? If you go back to
3 some of the early trials that were done with
4 placebo versus serum, back in the '30's and '40's,
5 and look at the outcomes even in patients that were
6 hospitalized, only 20 percent had mortality. What
7 would it be if you started looking at those that
8 weren't sick enough to go to the hospital and were
9 treated in the community? There is a huge response
10 that one is going to see just from our own immune
11 system. So, as I was trying to emphasize, maybe
12 you need to look at certain populations.

13 The other thing is maybe look at
14 microbiologic effects instead of looking at
15 clinical outcome, where you might find that if you
16 don't eliminate the organism in that population
17 there is a greater failure risk than in those where
18 the organism is completely eradicated. I think
19 such data exist for otitis media where the data
20 suggests that if the organism is not eliminated
21 only 67 percent respond while, if it is eliminated,
22 97 percent respond. So, maybe things like that can
23 also be developed in pneumonia to let you focus
24 then on a smaller number of patients looking at the
25 relative risk that not eliminating the organism has

1 on the overall outcome of the infection.

2 DR. EDWARDS: Yes, George?

3 DR. TALBOT: To follow-up on that point
4 and to come back to the point of clarity, I would
5 like to make a couple of comments. First of all, I
6 think it is an excellent idea to have a list of
7 criteria for deciding when an organism would be of
8 public health importance. Second of all, I think
9 it is clear to me that having a list of target
10 organisms would also increase clarity.

11 But then to get to Roger's point, what
12 happens after that? Could we get clarity on the
13 specific options for developing drugs solely for
14 resistant pathogens? This is where I guess I admit
15 to being a little unclear myself because I thought
16 at the February meeting there was at least an
17 emerging consensus that in the case of resistant
18 pathogens there would be the possibility for a
19 streamlined, focused drug development program that
20 would be easier for companies to achieve. I think
21 I recall numbers of patients mentioned as being 400
22 or 500. But somehow that seems to have been lost.

23 So, I wonder if we could talk a little bit
24 about that point because it seems to me that if
25 there could be clarity there it would answer some

1 of the questions about return on investment and
2 incentives.

3 DR. EDWARDS: George, let me address those
4 comments with a few of my own comments. I believe
5 in the next session we are going to come back to
6 those specific issues you just mentioned, but
7 before we leave I am going to make a series of
8 presumptive statements that may or may not be
9 correct. I am just trying to understand the
10 discussion so feel free to go right after them.
11 Then I will ask another question that I think we
12 need to ask before we leave.

13 My guess is, and as I say, please correct
14 me if I am off base here, that Frank Tally would
15 love to have a list of important resistant
16 organisms, probably with a certain number of stars
17 next to each organism that would be related to the
18 likelihood of an expedited review, sort of like the
19 movie rating system maybe on the possibility for
20 expedited review. FDA would like also to have that
21 list. It would make their job much easier in many
22 ways, but like any of us, would find it a daunting
23 challenge to create that list themselves and I
24 think any of us would need to rely on lots of input
25 from a variety of sources to create such a list.

1 The points on this slide represent grappling with
2 individual issues that I am sure you all have done
3 extensively because you do have to kind of make
4 this list each time you are faced with a new
5 application in this area.

6 So, the question I would like to come back
7 to before we stop this discussion is what would be
8 a structure that would be appropriate for the
9 creation of such a list? I am not sure the answer
10 is CDC. I am not sure the answer is inter-agency
11 task force. That might have some logistical
12 problems. Can the IDSA participate in the creation
13 of such a list? So, on that point, I would like to
14 turn that question over to the IDSA and, please,
15 feel free to let me know if I haven't quite read
16 the way the discussion is going. Yes, Mark?

17 DR. GOLDBERGER: I just want to make a
18 couple of comments on that. One is that for issues
19 that are complex, for instance macrolide-resistant
20 *Strep. pneumoniae* as an example, we do already have
21 a means available to address that question. The
22 means available would be if necessary to bring it
23 to one of the meetings of the anti-infective
24 advisory committee which has a great deal of
25 expertise, including substantial representation by

1 members of the IDSA, to address that very point.
2 We do have a mechanism. It doesn't mean that
3 another flexible mechanism that could also work
4 outside of an advisory committee setting to
5 identify for instance candida organisms for
6 discussion at an advisory committee couldn't be
7 quite useful, but we do have a means, for instance,
8 in particular when there might be a difference of
9 opinion between, say, a company and ourselves. So,
10 that does already exist.

11 The other point I thought was worth making
12 is, you know, I understand Dr. Talbot's concerns as
13 well as Dr. Echols' because they do need to have
14 some type of certainty in terms of their business
15 plan. I would however say that, and I know they
16 are both well aware of this, if they had a
17 candidate compound for a given organism they are
18 well aware of the fact that regardless of whether a
19 list has been published they are more than welcome
20 to consult with us via informal telecon, pre-IND
21 submission, IND, etc., as to whether a compound
22 against a certain organism would be suitable for
23 the kind of development that we are talking about.
24 That option, you know, is quite clearly open and
25 has been open and remains open. So, I do want to

1 point out that advice is always available that
2 represents the best thinking, for instance, that we
3 have at the current time and is open to information
4 that they may want to bring us which they may have,
5 in fact, put together as part of their due
6 diligence to decide whether this is something they
7 want to go forward with.

8 I will also say with regards to something
9 like MRSP, our problem there is if someone were to
10 come forward today we are not sure what we would
11 tell them, and that is the kind of situation that
12 perhaps is best decided at in an advisory committee
13 setting where we can give our perspective and the
14 company in question, group of companies, PhRMA,
15 etc. is free to give their perspective about the
16 public health importance. Those are some of the
17 observations I would make about how one can deal
18 potentially with some of these issues.

19 DR. EDWARDS: Mike, would you comment
20 about the idea?

21 DR. SCHELD: Well, I will speak on behalf
22 of the Society and say that I think there are many
23 and multiple ways in which we could assist you in
24 the development of such a list. We have the
25 requisite expertise and the clinical background.

1 We would, again, be pleased to do so. My
2 prediction would be that our list would probably be
3 a more inclusive one than might be generated
4 internally but we would still be happy to do that.

5 Another thing that David has brought up is
6 that through the emerging infections network I
7 think you could get at this last bullet to some
8 degree because even if you have clinical failures,
9 say, in macrolide-resistant pneumococcus you may
10 not report it in the Archives of Internal Medicine
11 but you may well be able to discuss it in your chat
12 room on your network and we can collect a series of
13 cases for you.

14 DR. EDWARDS: Mike, just to clarify a bit,
15 do you see the idea, say, as rendering their
16 assistance mainly through the national
17 antimicrobial advisory committee structure that is
18 being formulated at the present time?

19 DR. SCHELD: I think I would have to think
20 more about that, but that would make good sense.
21 That is one mechanism for achieving the goal.

22 DR. EDWARDS: Yes, Stan?

23 DR. DERESINSKI: Yes, one question is in
24 addition to these items, and let's say there were a
25 list, it seems to me that there would be greater

1 interest in expediting the review of a drug that
2 worked by a novel mechanism than, say, a
3 beta-lactam that had greater affinity for the same
4 penicillin binding proteins because you would
5 predict that that wouldn't last long. Would that
6 be the case, and how would you integrate that into
7 this clear list and decision-making about expedited
8 review?

9 DR. EDWARDS: John?

10 DR. POWERS: Could I just make an
11 observation about that? Obviously, the thought
12 process here would be that you need to make a list
13 but before you make the list you have to decide
14 what are the characteristics of what goes on the
15 list, rather than us presenting you with some list
16 internally by fiat. That is what we were trying to
17 get at.

18 The second step is once one decides on
19 what organisms go on that list, then we talk about
20 how to develop drugs for that, and all the
21 questions we have after this relate to that
22 development process. But we were trying to take
23 this in a step-wise way of getting at what would go
24 on the list because I don't think it serves
25 anyone's purposes for us just to throw organisms on

1 there and say this is what we think. We are trying
2 to present you with why we think something should
3 or should not go on the list, and the places where
4 we are having our own internal discussions.

5 Mark brought up things where we might
6 disagree and bring them to an advisory committee.
7 We tried to put up the reasons for why we might
8 disagree and to get at those but, clearly, we have
9 a bunch of questions coming up after this that
10 relate to the actual development process itself.

11 DR. EDWARDS: Yes, George?

12 DR. TALBOT: I would like to propose one
13 criterion to add to the list. It relates to the
14 issue of being proactive as opposed to reactive.
15 If you think about the bioterrorism analogy, one is
16 not waiting for a bioterrorism attack to decide
17 that a potential agent of bioterrorism is an
18 important subject for research, development and
19 prevention. I think the same thing is true for
20 resistant pathogens in the public health arena in
21 the United States and elsewhere. So, I think the
22 effort here should be less on waiting for a company
23 to have a potential drug and then see if it could
24 be developed against a possible resistant organism
25 of potential public health importance, and more on

1 proactively identifying what the emerging threats
2 are and facilitating and encouraging development,
3 starting at the most basic level of antimicrobials
4 against those pathogens. In summary, a criterion
5 should be thinking ahead as opposed to reacting to
6 what has already been seen.

7 DR. EDWARDS: Excellent point. If there
8 are no other comments at this point, then we are
9 going to take a 15-minute break before this
10 discussion gets to a higher level of intensity.
11 So, if you could please be back right at 11:15 we
12 will continue then.

13 [Brief recess]

14 DR. EDWARDS: The second half of this
15 morning's discussion will begin now. The second
16 half of this morning's discussion is entitled use
17 of exposure response relationship to facilitate
18 development of drugs for treatment of resistant
19 pathogens. We will have the same format with three
20 speakers and then expand our discussion until noon.
21 I would like to call on Bill Craig, from IDSA, to
22 begin the three presentations.

23 Use of Exposure Response Relationship to Facilitate
24 Development of Drugs for Treatment of Resistant
25 Pathogens - IDSA Presentation

1 DR. CRAIG: Well, I was at that meeting
2 that Mark referred to when the infectious disease
3 advisory committee sort of had their two-day
4 discussion on resistance, and pharmacodynamics came
5 up at that session and was talked about. But I
6 think over the four years since that time it has
7 markedly matured.

8 [Slide]

9 Clearly, where PK/PD analysis is being
10 used, even as we speak, is to decide which drugs
11 are going to go on even to start clinical trials
12 and beginning Phase I studies. They are clearly
13 used for selection of doses for Phase II and Phase
14 III studies. They are clearly being used for
15 susceptibility breakpoints for a variety of
16 pathogens. The NCCLS makes it one of the four
17 factors that is used for setting breakpoints. It
18 is also being provided for dosing guidelines for
19 pathogens where it is difficult to collect
20 sufficient clinical data and where do we always
21 have that, the subject we are talking about today,
22 emerging infections.

23 [Slide]

24 I think it is quite clear, and industry
25 has really bought into this, that PK/PD analysis

1 needs to be included in all phases of evaluation,
2 from preclinical all the way up even including
3 Phase IV. As I say, it needs to be included in the
4 human studies that are evaluating efficacy. I
5 think there are some potential problems--not
6 necessarily problems but maybe limitations with
7 PK/PD analysis in humans that people need to be
8 aware of.

9 [Slide]

10 It is very difficult to reduce the
11 inter-relationships among the various PK/PD
12 parameters when one is using a single dosing
13 regimen. Even if you use two different doses but
14 use a single dosing regimen it is virtually
15 impossible to separate the parameters. If you
16 increase the time above MIC you increase the area
17 under the curve, you increase the peak level--all
18 of them tend to go up. That has clearly been
19 demonstrated with the fluoroquinolones and the
20 beta-lactams. There are articles out in the
21 literature showing from human trials that each one
22 of the various parameters can be correlated with
23 efficacy.

24 I think in the past people thought that
25 that was confusing, how could the animals say one

1 thing and human trials say something else? But
2 whenever you only use one dosing regimen one can
3 use any of the parameters. Jerry Schentag is
4 sitting behind me and he can still use his area
5 under the curve for MIC when it comes to
6 beta-lactam antibiotics when he is using a single
7 dosing regimen.

8 The other thing that I wanted to comment
9 on there is that it may also be difficult to
10 actually establish what the PK/PD target is unless
11 one has a sufficient number of susceptible strains
12 included in the clinical trial. We do need some
13 failures in order to do this. This is one of the
14 reasons why many of us in PK/PD have tended to
15 focus more on microbiologic data than on clinical
16 data because oftentimes we can find microbiologic
17 failures more readily in some of the diseases than
18 we can actually find clinical failures.

19 [Slide]

20 What about PK/PD relationships in in vitro
21 models and also in animal infection models? I
22 think the primary advantage that these have is that
23 we can reduce the inter-relationships in time above
24 MIC, area under the curve, and peak MIC and, as a
25 result, actually determine which parameter is most

1 important in determining efficacy.

2 It also enables us to determine the
3 target. By the target I mean the magnitude of that
4 parameter that is required in order to develop
5 efficacy. But, more importantly, we can also
6 identify the factors that alter the target, such as
7 how does a resistant pathogen affect the target?
8 How does protein binding affect the target? How
9 does the site of infection affect the target?
10 There are all kinds of questions that at least can
11 be taken into animal models and some into in vitro
12 models to try and provide some information.

13 Just to sort of summarize what I think a
14 lot of data has pointed out that has been
15 accumulated over the last few years, there is
16 increasing consensus that PK/PD targets from in
17 vitro and animal models are predictive of efficacy
18 in humans. Clearly, I think we have also been able
19 to identify some of the factors that are important
20 in target assessment. For example, the class of
21 drug. You just can't look at beta-lactams and
22 apply one number. We find that carbapenems are
23 different from penicillins and even penicillins are
24 a little different from cephalosporins.

25 We have clearly, I think, decided that

1 free drug levels is what one needs to focus on.
2 Every time a pharmaceutical company comes up with a
3 new drug, I am told this is the first drug that is
4 going to show that protein binding isn't important.
5 I think once we get it and study it in the animal
6 models we come back again to saying that free drug
7 levels is what one should be using when one is
8 calculating out these parameters.

9 Frequently we need to make animals
10 neutropenic in order to get the organisms to grow.
11 For those that grow readily in both normal and
12 neutropenic animals we find that the white cells
13 can have a significant impact on the target,
14 sometimes reducing it only slightly; other times
15 having relatively major effects.

16 Most of the studies have not shown a big
17 effect on site of infection, although I am a little
18 concerned now about epithelial lining fluid and the
19 impact on pneumonia and I think that is an area
20 that clearly needs a lot more investigation.

21 We do see some differences with pathogens,
22 however, if you look at all the data that has been
23 reported in the literature looking at targets for
24 resistant organisms, they have been similar or less
25 than the targets for susceptible strains. So, we

1 are now finding that the MIC is not a good
2 parameter for estimating what the potency of the
3 drug is going to be against resistant organisms in
4 in vivo models.

5 [Slide]

6 Just to bring this up, most of the studies
7 that have been done so far looking at resistant
8 strains have primarily been limited to pneumococci,
9 to staphylococci, pseudomonas, a few gram-negative
10 organisms but, clearly, one of the areas where I
11 think this now needs to be extended even further is
12 for organisms that are producing or have an ESBL
13 phenotype.

14 Again, when we were talking about
15 surveillance before, I think we also have to look
16 at surveillance of our neighbors because those are
17 the kind of organisms that eventually come here.
18 If we look at klebsiella in Latin America, 45
19 percent of them have an ESBL phenotype. So, in
20 some places this kind of problem can be
21 significant.

22 [Slide]

23 How can we sort of apply some of this
24 knowledge then to facilitate development of drugs
25 for treatment of resistant pathogens? Let's take

1 the first scenario where we have MICs of resistant
2 organisms but they are similar to susceptible
3 strains. This is essentially a drug that is
4 out-of-class for the resistance. An example might
5 be fluoroquinolone as compared to penicillin and
6 macrolide resistance. Here, what we would expect
7 is that one would see that PK/PD analysis in in
8 vitro and animal models and both susceptible and
9 resistant pathogens would come up with very similar
10 targets.

11 Secondly, one would then also do PK/PD
12 analysis in humans with susceptible strains.
13 Remember, they have the same MICs as the resistant
14 strains and, again, we would expect that we should
15 find data that would support the target that was
16 developed in the animal models. Then hopefully,
17 lastly, one would have a few cases of resistant
18 infections to prove efficacy. This is the
19 levofloxacin model that was essentially used in
20 order to get the drug approved.

21 [Slide]

22 A second scenario would be where one has
23 MICs to the resistant organisms but here the MICs
24 are higher than the susceptible strains, and here
25 we are usually talking about a drug in-class where

1 we may have a new macrolide that is active against
2 organisms that are macrolide-resistant. Here again
3 one would be doing the PK/PD analysis with
4 susceptible and resistant pathogens and again one
5 would expect that the targets would be similar.

6 But here I think one has to do something
7 more since there is going to be a limit on the
8 MICs. What is commonly done now and is at least
9 accepted by the NCCLS is to do PK analysis with
10 Monte Carlo simulations. Monte Carlo simulations
11 is a statistical tool that enables one to take the
12 variation that is seen in pharmacokinetics in a
13 small population of people and extend it to a very
14 large population, and then from that one can then,
15 based on different MICs, see how often the actual
16 target is attained with the drug in question. That
17 gets one up then to being able to set a
18 susceptibility breakpoint below which the organisms
19 could be called susceptible. Then one still does
20 the PK/PD analysis with susceptible strains and,
21 again, one would like a few cases of resistant
22 infections to prove efficacy.

23 [Slide]

24 There is, however, wording in the FDA
25 Modernization Act that also talks about expediting

1 study where one can have a clinical endpoint or
2 "surrogate endpoint" that is reasonably likely to
3 predict clinical benefit.

4 [Slide]

5 Another section, under clinical
6 investigations where they talk about a single
7 clinical trial, talks about having one
8 investigation and confirmatory evidence that is
9 sufficient to establish efficacy.

10 [Slide]

11 One then brings up the question could a
12 well done PK/PD analysis in human infections,
13 including both susceptible and resistant pathogens
14 with the frequency that we have now--what we are
15 really talking about here I think, at least from
16 the start point, is RMSA, and would that provide
17 the surrogate endpoint and the confirmatory
18 evidence that would allow fewer patients to be
19 actually enrolled in efficacy trials? Obviously,
20 this would have no impact on the number of patients
21 required for the toxicity assessment, but at least
22 it may possibly be able to reduce the number of
23 patients included in efficacy trials.

24 [Slide]

25 Where else could PK/PD analysis be used?

1 Well, I think it is already being used by the
2 NCCLS. They have already published guidelines on
3 what PK/PD information is needed. To my mind, it
4 would be useful for industry if they actually knew
5 precisely how PK/PD might be used for breakpoints
6 or at least how breakpoints would be determined.
7 This is clearly a place where I think this type of
8 analysis has a role.

9 It has raised some breakpoints for some
10 drugs that have expanded the susceptible population
11 and cover some organisms that were previously
12 considered resistant. Right now the analysis that
13 NCCLS is doing I think will likely lower
14 breakpoints for some drugs because of changes in
15 the doses that are used now compared to when the
16 drug was approved; new resistance mechanisms like
17 the ESBLs; and I think enhanced knowledge about
18 PK/PD.

19 [Slide]

20 Lastly, just a couple of comments about
21 labeling. PK/PD analysis predicts efficacy with
22 support listing of some organisms plus MICs in the
23 package insert. Most practicing physicians,
24 however, do not understand PK/PD targets. I think
25 they understand time above MIC but when you start

1 talking about area under the curve in relationship
2 to the MICs, that is a little different story.

3 So, I would clearly include the general
4 target for the drug class in the label, but I would
5 not think that it would be good to put in specific
6 values for each drug. I think that starts to get
7 people talking about minor differences that might
8 not have any clinical significance whatsoever.
9 What physicians do understand, and they get this
10 information from their micro lab, is the percent
11 susceptible for different drugs. So, I think it
12 could be useful in presenting target attainment
13 rates with particular pathogens, especially some
14 with resistant organisms. Again, I would tend to
15 give this as a greater than an upper limit for a
16 maximum number, or give ranges without necessarily
17 giving the specific numbers so we don't have people
18 saying our drug has 99 percent; your drug only has
19 97 percent which, as I said, I think are probably
20 numbers that are too small to actually result in
21 any clinical significance.

22 [Slide]

23 In conclusion, I think the PK/PD analysis
24 is a powerful tool for predicting antimicrobial
25 efficacy in many common human infections and for

1 setting susceptibility breakpoints, and I think it
2 should be used more for facilitating drug
3 development for resistant pathogens through
4 modified clinical trial design, through
5 susceptibility breakpoints, and then also through
6 some different ways of labeling. Thank you very
7 much.

8 DR. EDWARDS: Thank you very much, Bill.
9 We will just move right along to James Poupard,
10 from PhRMA.

11 PhRMA Presentation

12 DR. POUPARD: It is good to be here to
13 give a talk related to PK/PD when you are at a 90
14 degree angle between Bill Craig and Jerry Schentag.
15 So, if I am a little nervous, you may know why.

16 [Slide]

17 My topic is the use of PK/PD to facilitate
18 the development of drugs for the treatment of
19 resistant pathogens.

20 [Slide]

21 I am going on the assumption and the
22 premise that resistance is a current and future
23 public health problem. I think my address today
24 deals with it on a broader basis than some of the
25 things we were talking about--just a list. Looking

1 at this broad background, it is this resistance
2 that really I think has pushed the whole concept of
3 PK/PD more into the foreground in making decisions
4 on breakpoints and efficacy.

5 There are two points here. Many
6 professional organizations and government groups
7 have all these committees that really, if they are
8 100 percent successful, will slow the rate of
9 resistance. None of these have the goal that they
10 will eliminate resistance. Therefore, it makes it
11 absolutely necessary that there are agents to treat
12 infections caused by these resistant organisms
13 because even if the rates are one percent at this
14 stage, they are going to be much higher in the
15 future. And, it seems that there are only two
16 alternatives, either develop new agents or find new
17 formulations for the current agents.

18 [Slide]

19 So, I would like to talk about what are
20 some of the issues on approval guidelines for
21 resistant organisms. It has been discussed this
22 morning and I won't go into it but, again, it is
23 difficult or impossible to achieve standard target
24 numbers of cases due to drug resistant pathogens in
25 clinical trials. The high cost has been mentioned

1 earlier today, and the number of years to do the
2 study actually makes it rather unrealistic, and all
3 this in the environment that the pharmaceutical
4 industry, particularly large pharmaceutical
5 industry is in right now where for not only drug
6 discovery but for drug development we are in
7 competition for funds from cardiovascular--from all
8 the drugs that people take for many more years,
9 other than for five days or ten days.

10 So, while these factors alone may not be
11 significant when you put them together and put them
12 in the environment of competing for funds to even
13 get started on the discovery of some of these
14 drugs, then these issues I think become very much
15 more important.

16 [Slide]

17 So, what are the needs? The needs are for
18 realistic FDA guidelines to secure labeling claims
19 for agents to treat infections caused by resistant
20 pathogens, and there is a need for inclusion of
21 that information in the label describing the
22 benefit of the new agents, particularly how to
23 differentiate those from existing agents, to
24 provide incentives to the companies.

25 [Slide]

1 My use of PK/PD--I am using it in a
2 broader sense. Because of time limitations I am
3 not going to get into Monte Carlo simulations but
4 just PK/PD in general. As we have already heard,
5 it is a powerful tool to predict the efficacy of
6 antimicrobial agents. There is agreement among
7 experts globally I think for the first time,
8 particularly people that are setting breakpoints
9 throughout the world using maybe different
10 methodologies but, still, there is agreement that
11 PK/PD holds a valuable parameter. We have already
12 talked about some of the parameters that are there
13 and have agreement on them so that they can be
14 applied to facilitate the development and
15 registration of products to treat infections due to
16 drug resistant bacteria.

17 [Slide]

18 What is the role for PK/PD? Again, I am
19 not saying that it will replace clinical studies
20 but it should play a significant role in labeling
21 and approval of certain agents. Again, the
22 labeling is important because without that labeling
23 the cost-benefit to the drug company is not there.

24 For breakpoint decisions there have been
25 lots of discussions using PK and PD for breakpoint

1 decisions but I would like to focus on breakpoint
2 decisions for resistant organisms. The trend in
3 both the NCCLS and FDA, particularly for some of
4 the newer agents, in setting breakpoints where
5 there are not enough resistant organisms to do
6 anything significant is to take the susceptible
7 population, maybe give one extra dilution and put
8 the breakpoint there on the basis that there is not
9 clinical data to justify putting the breakpoint
10 higher. This has worked very nicely. Also, one of
11 the rationales for doing that is that as the MICs
12 increase they become resistant and they stand out,
13 and it is a very good philosophy to follow, except
14 that when you are talking about resistant
15 organisms, again, you would need PK/PD parameters
16 to say this should include those resistant
17 organisms.

18 The other is using PK/PD as efficacy
19 versus resistant pathogens. Again, this is
20 assuming that there is efficacy for the susceptible
21 population of that genus and species.

22 [Slide]

23 The proposed role of PK/PD in
24 labeling--again, it has to be included in the
25 label. Some of the argument against it, as was

1 already mentioned, is that prescribing physicians
2 are not interested in the PK/PD information. But
3 for prescription guidelines and for comparing drugs
4 it really is the label that we use to really
5 formulate a lot of these decisions. So, things
6 like time above the MIC, AUC/MIC specifically for
7 the breakpoint and MIC-90s would be extremely
8 helpful information in this.

9 Again, PK/PD to support the breakpoint
10 would be critical in the sense that we talked this
11 morning about lists, but in some cases that we
12 mentioned one percent, two percent resistance is a
13 very significant amount of resistance because it is
14 going to be nothing but increased. Therefore,
15 without that population and with the clinical
16 outcome PK/PD is going to be a valuable aspect.
17 Efficacy versus resistant pathogens, as has already
18 been noted by Dr. Craig--you can argue and split
19 hairs but, you know, essentially the data can be
20 there as long as the company has the incentive to
21 generate the material.

22 [Slide]

23 I will just talk about two scenarios. In
24 the slides the abbreviations would be for
25 penicillin-resistant Strep. pneumo.,

1 methicillin-resistant Strep. pneumo. and
2 quinolone-resistant Strep. pneumo. The two
3 categories would be a new agent for in-class drug,
4 which would be a drug that has the same resistance
5 mechanism, and a new use or a new agent for
6 out-of-class drug, which would be a different
7 resistance mechanism.

8 You keep on coming back to the question
9 when you look through some of these scenarios of us
10 addressing if the isolates are so difficult to
11 obtain, then why is there a need for approval for
12 resistant isolates? Again, if we come back to the
13 fact that this is a public health issue; if we come
14 back to the fact that it takes so long to do these
15 studies, where in some cases the increased percent
16 resistance is slow it may not be that significant
17 but, with some of the predictions of quinolone
18 resistance right now at a rate of about one or two
19 percent and, therefore, impossible to do the
20 studies and, yet, some people are predicting very
21 high rates in the very near future. So, if we wait
22 until that increases, then we certainly would be
23 able to do the studies but then all the financial
24 incentive may be gone.

25 [Slide]

1 Scenario one and, again, Dr. Craig
2 outlined a lot of the details of how you would get
3 here and I am just sort of taking it to the next
4 step, there could be consensus of opinions as to
5 what PK/PD studies are necessary to fulfill an
6 in-class requirement to get a resistant label for
7 breakpoint and indication. This includes PK/PD
8 data in the label. It would be up to the company
9 to provide the appropriate data, and to get a
10 consensus of what the appropriate PK/PD parameters
11 to measure are.

12 The use of the PK/PD data to help
13 determine breakpoint would be significant because
14 of some of the things I mentioned before and also
15 strong support of PK data should lower the number
16 of clinical isolates required per indication to get
17 approval of a breakpoint.

18 The third item there, since the mechanism
19 of resistance is the same in the in-class category
20 there will be a limit to the appropriate
21 penicillin, macrolide or quinolone MIC for the
22 agent. Again, this could be determined and there
23 could be consensus that 90 percent of the
24 population must be susceptible, or some such
25 figure.

1 The last one is data that need to be
2 provided on the correlation of the penicillin,
3 macrolide, quinolone MIC to the new agent or to the
4 new application of the old agent.

5 [Slide]

6 As far as out-of-class, using PK/PD to get
7 out-of-class labeling, the first would be the same.
8 Again, you would have to come up with a consensus
9 of what studies are necessary. The use of this
10 data should help determine the breakpoint. With
11 strong support of PK/PD data, again, the number of
12 isolates could be lowered and the data that would
13 be required would be the percentage of penicillin,
14 methicillin or quinolone resistant organisms that
15 are also resistant to the novel agent, and again
16 surveillance data would be important in that.

17 [Slide]

18 In summary, the role for PK/PD to support
19 approval and labeling claims for agents versus
20 resistant organisms is that, first, PK/PD
21 parameters, such as time above the MIC, should be
22 included in the labeling. Second, PK/PD data
23 should have a major impact on breakpoint decisions.
24 Third, combined with limited clinical information
25 this data should be used to support a statement in

1 the indications section for usage to treat
2 infections caused by resistant pathogens.

3 [Slide]

4 In conclusion, PK/PD data in labeling and
5 the approval process would accomplish three things.
6 One, it would increase the number of agents
7 approved for treatment of infections caused by
8 resistant organisms. Second, it would provide
9 differentiation of benefit of new agents or
10 formulations, thereby providing companies with the
11 rationale for development and commercialization of
12 these agents. And, it would provide one incentive
13 for companies to invest more to pursue solutions to
14 the resistant problems more aggressively. I will
15 end there. Thank you.

16 DR. EDWARDS: Thank you very much. I am
17 going to call now on Phil Colangelo, from FDA.
18 Phil?

19 FDA Presentation

20 DR. COLANGELO: Well, thank you and good
21 morning, whatever is left of it.

22 [Slide]

23 I am Phil Colangelo, from the Office of
24 Clinical Pharmacology and Biopharmaceutics at the
25 FDA. I am going to try to round out the discussion

1 and continue with exposure response and application
2 to antimicrobial drug development. I am actually
3 going to speak more in generalized terms, not
4 specifically towards resistance but in general
5 because I think a lot of the things that we talk
6 about with respect to exposure response and
7 application of it applies to both susceptible and
8 resistant pathogens.

9 [Slide]

10 These are some of the guidances. This is
11 not an attempt to be comprehensive here but these
12 are some of the regulatory guidances that promote
13 the use of exposure response in various situations.
14 The most recent is a guidance that came out from
15 our Office of Clinical Pharmacology and
16 Biopharmaceutics.

17 The third one down on the list is the
18 specific guidance, the draft guidance that was
19 developed and actually discussed back in '98,
20 "developing antimicrobial drugs: considerations for
21 clinical trials and individual indications." In
22 that guidance we have wording with respect to PK/PD
23 and the use of PK/PD and how it can be used, and
24 its attributes within an antimicrobial drug
25 development program.

1 [Slide]

2 Notice also the ICH E-4 document which
3 sort of is a predecessor for the latest draft
4 guidance with respect to exposure response. I
5 mention it because the title is "dose response" and
6 I think just to clarify and for some definitions,
7 what we mean by exposure when we speak of exposure
8 response is a measure of drug input, such as the
9 dose or dose rate, as well as any measure of plasma
10 concentrations, for example the maximum
11 concentrations or the area under the curve.

12 By response we mean desired drug effects,
13 as well as undesired drug effects. Desired drug
14 effects examples being, in the anti-infective
15 world, of course clinical cure, micro cure. But
16 even to add to the response definition, I think it
17 would be the use of some surrogate endpoints as
18 well, as Dr. Craig had elucidated.

19 [Slide]

20 There is an antimicrobial drug exposure
21 response working group that we have just recently
22 formed, over the summer in 2002. This is a
23 multi-disciplinary group which consists of members
24 from the clinical, statistical, microbiological and
25 clinical pharmacology review divisions. It is a

1 fledgling group right now. It was just formed. I
2 am going to try to present to you what some of our
3 thoughts are with respect to this approach.

4 [Slide]

5 Our objectives, as we outlined them to be
6 right now, are that we would like to critically
7 evaluate antimicrobial exposure response
8 information and develop an internal consensus. I
9 think, as has been said already, there is some type
10 of consensus but we need to internally come to
11 grips with exposure response information and see
12 how it can best be used within a given application.
13 When I say critically evaluate this information, I
14 also mean not only within the submissions that we
15 get but also within the literature, and there is a
16 lot of literature out there and I think it is going
17 to be a challenge and a daunting task for us to
18 really look at that information and see what really
19 good information we can extract out of it and where
20 there may be some holes or some flaws within that
21 information.

22 The second is to determine the
23 applicability of the exposure response data that we
24 get in antimicrobial drug development and finally
25 then determine where exposure response data can

1 actually be used to support regulatory decisions.

2 [Slide]

3 Our potential goals that we have outlined
4 would be to develop an exposure response knowledge
5 base, or an exposure response database, if you
6 will, and that is to compile the information that
7 we receive in submissions, and we would like to
8 stratify it by antibiotic class, by indication, as
9 well as the organism and that would include those
10 that are considered to be susceptible as well as
11 resistant strains, and also by outcome, namely
12 clinical and microbiological.

13 Another goal is to try and correlate this
14 human exposure response outcome data with the in
15 vitro animal data and, in a way, to sort of work
16 backwards, if you will, to take the clinical data
17 and to see whether or not there is a good
18 correlation with those data that have been
19 generated in vitro as well as in animal models.

20 [Slide]

21 I guess the way we see it is that exposure
22 response really should be integrated within--or
23 even a better word, I guess, throughout the drug
24 development program. I guess the way we see it is
25 that at the preclinical stage the in vitro animal

1 studies can serve really as the foundation, sort of
2 the building block upon which then your clinical
3 development program can be developed. I put there
4 those double directional arrows to say that it is
5 really an integrated, sort of an iterative process
6 for which PK/PD or exposure response information
7 can serve as sort of the common thread between all
8 phases of development and serve as the glue, if you
9 will, to really solidify the information that we
10 get from preclinical in vitro and animal studies up
11 through the clinical development stages.

12 I am not really going to talk too much
13 about Phase I studies or actually not at all
14 because everybody knows this and it is pretty well
15 described. I am going to talk a little bit more
16 about issues that we have discussed as a group with
17 respect to in vitro and animal studies, Phase II
18 and Phase III studies as well.

19 [Slide]

20 The in vitro animal studies, well-designed
21 studies, we feel, can provide very, very important
22 information for the clinical trials. They can be
23 viewed obviously as hypothesis generating type
24 trials. We discussed this quite a bit as the
25 working group, and we have identified some issues

1 of importance and these have been discussed as well
2 with Dr. Craig's presentation, but we feel that
3 dose fractionation to establish the appropriate
4 exposure response, index or even indices is very
5 important. Obviously, correcting for protein
6 binding is also an important factor as well.
7 Neutropenic versus non-neutropenic animals we feel
8 is also a very important factor and probably both
9 type of models should be used.

10 Other issues that we felt are important
11 would be the inoculum size; the timing of the drug
12 administration relative to the inoculum; the
13 duration of the experiment; and then what micro
14 endpoints are then used. I think all these things
15 need to be clearly defined and clearly presented as
16 we try to use this type of information because when
17 it comes down to it, I think what we are trying to
18 do is see what the applicability is to the clinical
19 setting of these types of studies.

20 [Slide]

21 Phase II studies we see as really proof of
22 concept or testing your hypotheses that have been
23 generated with in vitro and with the animal models.
24 We feel it is also a very critical component of the
25 development program. We probably won't get this

1 opportunity in Phase III but Phase II allows an
2 opportunity to explore the exposure response in the
3 targeted populations and to facilitate in the
4 selection of the right dosage regimen.

5 There are obvious limitations but some of
6 them that we discussed were that in the packages
7 that we get we often see that Phase II only
8 includes some limited indications, perhaps not
9 always as relevant. In other words, a sponsor may
10 try to extrapolate from PK/PD information for UTI
11 for a drug, say, that is 80 percent renally
12 excreted, eliminated in the urine, to try to
13 extrapolate that and to use that argument for the
14 treatment of, say, community-acquired pneumonia.
15 There are also limitations of limited dose range
16 that we see. We realize that this can be for
17 ethical reasons as well. Then, oftentimes we don't
18 get plasma samples obtained in Phase II studies.

19 [Slide]

20 So, I think some of the perception may be
21 that Phase II is seen as maybe an unnecessary and
22 high hurdle to get over, but we feel that it can
23 really benefit us as well as sponsors in terms of
24 establishing the adequate dosage regimens to take
25 into Phase III.

1 [Slide]

2 With respect to Phase III, I think we feel
3 Phase III is viewed as a confirmatory phase where
4 we are trying to confirm the right dose or doses,
5 as well as duration of therapy, confirming as well
6 the relationship between exposure response, the
7 various indices and outcome in patients. Some of
8 the limitations that we see though are that PK
9 sampling is usually not performed or, when it is,
10 it is oftentimes not adequate to allow reliable
11 estimates for the PK parameters through a
12 population PK approach.

13 [Slide]

14 Some of the issues that we have also
15 discussed with respect to the exposure response
16 indices themselves or PK indices themselves are
17 that there may not be an absolute or ideal value
18 that is associated with a given index, and it may
19 be specific to a particular drug or class of drugs,
20 organism as well as the site of infection. There
21 may be other PK/PD indices, in addition to those
22 that have been discussed, such as time above or
23 Cmax, MIC or AUC to the MIC. So, there may be
24 others that may better, I guess, predict or
25 correlate with clinical or micro outcome.

1 Another issue is that plasma
2 concentrations may not always be equal to the
3 infected tissue concentration. In light of that, a
4 question comes up in our minds about can the PK/PD
5 index that is derived from plasma, i.e., Cmax to
6 MIC or AUC to MIC or even time above, can that
7 index that is derived from plasma predict outcome
8 at the site of infection? If the answer is,
9 indeed, yes then is the magnitude of the index also
10 the same at the site of infection as it is in
11 plasma?

12 Another issue is in general the
13 predictability of the indices to outcome, and
14 factors that we feel have an influence on the
15 predictability would be things like clinical versus
16 the microbiological endpoint. Those can be very
17 different and can have an influence on the
18 predictability, as well as timing of the endpoint
19 measurement; whether we are looking at the end of
20 therapy or the test of cure; whether or not we are
21 looking at an indication where there is a true drug
22 effect versus spontaneous resolution; as well as
23 the true microbiological eradication versus
24 presumed microbiological eradication.

25 [Slide]

1 briefly go through them. Ed, before you sit down,
2 we are going to need to show the rest of these, if
3 we could. It is the demonstration of the efficacy
4 in the disease in which the resistant pathogen is
5 most likely to be present. Efficacy in
6 hospital-acquired pneumonia when studying MRSA or
7 complicated intra-abdominal infections for VRE.
8 Utility of demonstration of efficacy in susceptible
9 isolates of the pathogen as it relates to efficacy
10 against resistant pathogens.

11 [Slide]

12 Can one use efficacy in one disease to
13 support efficacy in another disease? Included
14 within these points are the severity of the disease
15 and can microbial proven ABS support CAP?
16 Relevance of the site of infection. Certainty of
17 the diagnosis in question.

18 [Slide]

19 The certainty of diagnosis, that is,
20 bacteremia versus other forms of disease. The
21 severity of the disease, VRE, UTI versus
22 intra-abdominal infection. Certainty of poor
23 outcome in the absence of effective antimicrobial
24 therapy such as in endocarditis or meningitis, and
25 how comorbid conditions impact on assessment of

1 outcome.

2 We are hoping to track through nearly all
3 of these areas through this part of the discussion.
4 John, would you like to start us off?

5 DR. POWERS: If I could frame all three of
6 these questions and put it in a more general way,
7 Roger, you got to this issue of after we get to a
8 list the next question is how do we streamline the
9 drug development process. I think all of these
10 questions actually go to that and PK/PD is one part
11 of the equation of trying to streamline the drug
12 development process. But we have a number of other
13 questions as well that would actually go into this,
14 above and beyond the preclinical stuff, and that
15 gets to the idea of, for instance, using data on
16 susceptible isolates of a particular pathogen to
17 support efficacy in resistant pathogens. PK/PD
18 might be part of that equation, but also how much
19 clinical data one would require.

20 One of the issues that we struggled with
21 internally is, is this different for, as Ed termed
22 it, the out-of-class resistance? For instance, a
23 quinolone for penicillin-resistant *Streptococcus*
24 *pneumoniae* where the mechanism of resistance has
25 nothing to do with the drug, as opposed to, say, a

1 glycopeptide for vancomycin-resistant enterococci
2 or even a fluoroquinolone for
3 fluoroquinolone-resistant organisms, one drug
4 versus another. That is what we would like to hear
5 some discussion on.

6 DR. EDWARDS: Bill, let me ask you to
7 begin.

8 DR. CRAIG: One of the things that many
9 investigators have tried to do, including our
10 laboratory, is to specifically look at those
11 questions to see specifically is the MIC a good
12 test for correcting for the differences in the
13 amount of drug that may be required to kill the
14 organism. For example, what we found with
15 quinolones if we are looking at a
16 quinolone-resistant strain is that it requires more
17 drug and it does that no matter what the mutation
18 is. Whether it is a gyrase or whether it is a
19 PAR-C or PAR-E it requires more drug but the ratio
20 of area under the curve to MIC does not
21 significantly change from what one finds with
22 susceptible organisms.

23 We have also found a few organisms where
24 the values are even less, for example, efflux for
25 gemifloxacin. A drug which is effluxed, didn't

1 appear to be as important in the animal model as it
2 is in the test tube. Maybe the efflux pump is busy
3 doing something else or it is down-regulated in
4 vivo.

5 Looking at those kind of resistances, we
6 have not yet found a situation where the MIC has
7 not reflected what amount of drug is going to be
8 required to take care of the organism. In other
9 words, we haven't found where the area under the
10 curve to MIC ratio goes markedly high, where the
11 organism still looks like it is susceptible but it
12 requires a huge amount of drug in order to do that.
13 This is looking at probably somewhere in the range
14 of about 25 different clinical isolates as well as
15 standard strains to try and make these kinds of
16 determinations.

17 I think one of the problems that we have
18 with animal model work is that people frequently
19 want to study one or two organisms and think that
20 applies to everything. As you know, in a clinical
21 trial we may have a hundred different organisms so
22 what is very important in the animal work is that
23 you have to look at a lot of strains to try and at
24 least gain some confidence that you are not just
25 looking at two particular strains and if you try to

1 apply it to a larger number things are going to
2 fall apart.

3 So, for doing those kind of analyses so
4 far, and I guess we are limited with really good
5 data for quinolones, with quinolone resistance,
6 macrolides, and beta-lactams with beta-lactam
7 resistant strains. The pneumococcus I think has
8 been pretty well studied. Staph. aureus with MRSA
9 I think is another one where a lot of different
10 strains have been looked at. Then, for most of the
11 gram-negatives, most of them have been your common,
12 everyday susceptible gram-negative organisms. It
13 is only lately that we have been starting to
14 evaluate a large number of strains with various
15 resistance mechanisms. Again, from the preliminary
16 data that we presented down at NCCLS this last
17 year, so far we are finding that the magnitude for
18 the resistant organisms in terms above MIC for
19 beta-lactams is similar or less than what we find
20 for susceptible strains. So far in the type of
21 analyses that we have been doing we don't see a
22 major difference, but there are clearly a lot more
23 analyses that need to be done.

24 DR. EDWARDS: Yes, Dave?

25 DR. GILBERT: Several things come to mind

1 but first, just for clarification if Phil Colangelo
2 wouldn't mind responding, I was struck by a list of
3 documents that have addressed PK/PD in the past and
4 it looked like the major document was in 1998 and
5 it is still in draft four years later. I am a
6 little lost there and I am asking this not as a
7 criticism totally but out of naivety. Then, the
8 thinking is if I have a new drug that I am
9 developing I don't quite understand if PK/PD is
10 still under consideration or if it is a
11 requirement. It is not clear to me; maybe it is to
12 everybody else.

13 DR. COLANGELO: With respect to the
14 document itself, I will ask Dr. Albrecht, if she
15 wouldn't mind--

16 [Laughter]

17 --providing some status of that.

18 DR. ALBRECHT: You are giving me a choice?
19 The document, "general considerations for
20 developing antimicrobial drug products," is a
21 document that covers multiple disciplines. As we
22 will hear this afternoon, there is one area that
23 has been under discussion for a number of years,
24 and also the discussion was started on February
25 19th regarding the statistical elements. It is

1 that section where the dialogue has been complex
2 and ongoing. It is really the reason why the
3 document has not been finalized, and I think we
4 will hear a lot more discussion this afternoon on
5 some of the challenges that have faced that
6 section. The PK/PD section I think was not sort of
7 the holdup. I think the issues that Dr. Colangelo
8 covered are as they stand now.

9 DR. GILBERT: So, how does it stand?

10 DR. GOLDBERGER: I think it is also fair
11 to say that an issue that the first two speakers
12 certainly addressed in detail was addressed last
13 February, probably addressed at previous meetings
14 as well and certainly back in 1988, that is, how
15 much or how far can you go with PK/PD in supporting
16 basically, you know, what kind of labeling and
17 particularly what kind of indication you can get;
18 how much of the data, say, for a resistance claim
19 can come from that as opposed to clinical trials.
20 That, truthfully, we are not able to provide
21 definitive advice on right now because, I guess, we
22 regard those as still not entirely answered
23 questions, which is the point of having some
24 additional presentations and discussions.

25 I think it unfortunate perhaps that we

1 haven't been able to come to closure on it, and I
2 am not sure if that is on our side that we haven't
3 had a chance to think about it in detail or the
4 fact that it still represents that there are some
5 not sufficiently characterized issues, or at least
6 not sufficiently characterized for how it will fit
7 in with a more limited amount of clinical data. I
8 would have to say at the moment I probably lean
9 towards the latter in trying to understand how much
10 less clinical data is reasonable to try to go with,
11 in addition to some of the PK/PD data that could be
12 collected from a smaller, well setup study to
13 support a resistance indication. I think at this
14 point I know our thinking is not yet characterized
15 as to how much that would really be. That is one
16 of the reasons you are not going to see any
17 definitive guidance yet because, I guess, from our
18 point of view we are not sure yet what we would
19 write in such a guidance.

20 DR. GILBERT: But can PK/PD data be put in
21 the package insert at the present time? As a
22 clinician, I would like that.

23 DR. GOLDBERGER: I will make a couple of
24 comments and then I will see if one of the PK/PD
25 folks wants to do that. We had a period when we

1 were working on a rule that will add some
2 information in product labeling with regards to
3 resistance, just sort of advising people,
4 physicians and practitioners in general, about
5 usefulness of antimicrobials in certain situations,
6 including viral infections, benefits of
7 susceptibility testing when available, etc. Some
8 of the comments we got when we put this resistance
9 rule out for comment was the idea of including more
10 detailed PK/PD information. I guess one of the
11 issues we had at that point is how readily
12 available such information would be, and what
13 physicians would actually be able to do with it.

14 So, I think that that is one issue. The
15 other issue that we have to keep in mind, which is
16 something I was just going to touch on very briefly
17 in the afternoon, is we certainly, as you are all
18 well aware, provide a lot of clinical pharmacology
19 information in labeling now. Certainly, it is
20 possible to provide PK/PD information but one must
21 keep in mind that at some point when one provides
22 information in detail about an organism it can be
23 perceived to be giving an implicit claim of
24 activity against the organism, which is basically
25 the same as granting the indication. We then need

1 to feel comfortable with regards to that because
2 from a promotional perspective it is possible then
3 for that product to essentially be advertised as
4 effective in that setting. That is also an issue
5 that, truthfully, comes up in the internal
6 discussions we have and from time to time in
7 negotiations with industry. I don't know if John
8 or Phil want to comment on this now.

9 DR. POWERS: One of the big sticking
10 points for this I think is sort of a focus from
11 ICAAC. Dr. Craig, you were one of the people doing
12 the presentations there. It was one of those
13 interactive sessions and Steve Zinner got up and
14 asked a bunch of questions about PK/PD issues.
15 What is the main parameter for beta-lactams, and
16 everybody presses the button--90 percent agreement.

17 He asked the real key question at the end,
18 and that was is this useful in clinical
19 decision-making? It was 33 percent yes; 33 percent
20 no; and 33 percent maybe. To me, that summarized
21 the problem that we were running into. That is,
22 everybody is agreeing on the in vitro and the
23 animal side. When it comes to the linkages to
24 humans, even the people sitting in that room had
25 questions about what the clinical implications of

1 this stuff were.

2 DR. GESSER: I guess the first question
3 one would ask is why that is, and why 33 percent
4 don't know what to do with that information. Is it
5 because they don't understand it, or they don't
6 believe it, or they don't trust it? I think if the
7 answer to that question is they don't understand
8 it, then I think it is the role of the 67 percent
9 to inform the 33 percent as to why they believe a
10 certain way.

11 DR. POWERS: Does "maybe" count as a
12 "yes"? Is that what you are saying?

13 DR. GESSER: No, no, no. What I am saying
14 is you would like to bring those people to a point
15 where they could make a decision. I guess the
16 point I want to make here is that probably the way
17 not to do that is to have a lot of numbers and
18 terms that are specific to a certain discipline
19 but, rather, to have an easier to understand
20 format, which would be perhaps a section that deals
21 specifically with resistance. That could be both
22 the negative aspects of resistance, for example,
23 not to use the drug in cases of influenza and
24 things like that, but also a message about
25 activity, and that activity interpreted by a panel

1 of experts in regards to the treatment of a
2 resistant pathogen, acknowledging situations where
3 there are limited clinical data. So, part of that
4 would be PK/PD data, again, phrased not to say that
5 the value of 10 was achieved in 10 rodents but to
6 interpret those data and to state them with a
7 certain level of confidence as to the meaning of
8 that.

9 Again, specifically I am thinking about
10 in-class resistance. I think you could make a
11 logical argument that based on preclinical
12 information and a body of clinical information
13 against a susceptible strain of the pathogen, you
14 could make a cogent argument that people might want
15 to go ahead and use this agent in circumstances in
16 which the resistant pathogen is encountered. I
17 guess what comes to mind is the Levaquin story and
18 the time frame in which that labeling decision and
19 that information was available to practitioners.

20 I guess one could ask, let's say, there
21 was a provision for a resistance claim for PRSP for
22 an out-of-class agent available at the time of the
23 initial licensure of Levaquin, did we gain any more
24 assurance with the 14 isolates over I don't know
25 how many years in 3000 patients? I think that is

1 really an important question for the group to
2 address. Certainly we have information on 14 more
3 patients. That information came from many
4 different sources. Could that information have
5 been attained in a post-licensure environment,
6 which it was and, therefore, have a drug readily
7 available probably three years earlier for use with
8 appropriate restrictive labeling in terms of not
9 sanctioning for an indication but indicating the
10 limited amount of information that is available?

11 DR. EDWARDS: Yes?

12 DR. LAZOR: I would just like to follow-up
13 on the labeling issue, but before that I would like
14 to provide one clarification. I think a comment
15 was made that guidance documents are requirements,
16 or the contents of guidance documents are
17 requirements. As stated, they are guidances, they
18 are not requirements.

19 Going further on with the PK/PD in the
20 label, I think that where we are at today if it has
21 meaning and if it helps practitioners, then we
22 would propose that such information be included.
23 However, it is hard to take AUC information into
24 clinical practice. So, I would propose that we
25 actually even go a step further and if we try to

1 identify characteristics of patients or
2 characteristics of disease states we may have the
3 potential to alter exposure and relate those
4 characteristics to dose. We can then actually
5 translate exposure into a dose metric, if you will
6 so it would be more user friendly in the label.

7 DR. EDWARDS: Mike?

8 DR. SCHELD: I would like to get back to
9 Dr. Powers' observation of the one-third,
10 one-third, one-third. In some respects, I think it
11 is too general a question even though you think it
12 is very specific. That is, if you asked an
13 audience like that at IDSA is PK/PD information
14 useful in understanding the best parameter for a
15 class of drugs you would get 90 percent. If you
16 asked if you can use the time above MIC of one
17 beta-lactam versus another in choosing one
18 beta-lactam in the clinic, you would probably get
19 an answer no. If you asked the question if you
20 could use AUC to MIC of a quinolone against a
21 pneumococcus in predicting efficacy, you would
22 probably get above a third saying yes. So, I think
23 it depends on how you phrase the question.

24 Another thing that we are totally ignoring
25 here is that these parameters may actually have a

1 correlation with the development of resistance in
2 vitro or in vivo and that may be a driving decision
3 for hospital formularies. The AUC to MIC ratio for
4 quinolones against pneumococcus actually may drive
5 a hospital formulary to choose one drug over
6 another because they believe not that they are
7 going to have better efficacy but may have a longer
8 time to development of resistance if you have one
9 that has a higher number. So, I throw those out
10 there.

11 Another thing is if we dose these drugs
12 the way PK/PD would predict that they would be the
13 most efficacious, then we should have a lot more
14 information on more than one dose for each drug,
15 which we almost never do, which gets back to Bill's
16 point earlier, 24-hour infusion of a beta-lactam
17 versus intermittent doses. I don't see PhRMA
18 supporting such studies and if we believe PK/PD we
19 should actually look into it.

20 DR. EDWARDS: Yes, George?

21 DR. TALBOT: I think we are speaking about
22 the label as though it is a single entity. Perhaps
23 it is my naivete but it is not clear to me that
24 that is the case. For example, I would say that
25 putting more PK/PD information in the label might

1 be of some incremental interest to practicing
2 primary care clinicians, of more interest to
3 academic ID clinicians, of still greater interest
4 to formulary committees, and so forth. So, I think
5 that, in fact, there are multiple constituencies
6 within the audience. This information might not be
7 equally relevant to all of them but it would be
8 relevant enough, in my opinion, to warrant
9 including it.

10 DR. EDWARDS: Dave?

11 DR. GILBERT: I think it is a patchwork
12 quilt. I consider myself sort of a hybrid of a
13 clinician and erstwhile investigator and formulary
14 committee participant, and so forth, and I want to
15 know everything I can. I mean, I want to know the
16 classical MIC data. I want to know Bill Craig's
17 data or others' on the PK/PD.

18 I am going to move on to another area
19 here. I want to know the toughest challenges that
20 this drug can face. So, I want to know about how
21 effective it is in endocarditis. I want to know
22 how effective it is in meningitis, both in animal
23 and in human studies. Because if the drug, whether
24 it is in-class or out-of-class, is able to
25 eradicate the organism or if it can cure

1 meningitis, that drug is going to work for
2 pneumonia. That drug is going to work for skin and
3 soft tissue. I don't need a zillion dollar study
4 to prove it to me.

5 DR. POWERS: Could I ask a question about
6 that because that gets to one of the questions we
7 asked up here about one disease supporting efficacy
8 for another disease? You have made that assertion,
9 and this is where we don't have a problem with it,
10 taking the more severe disease and relating it to
11 the less severe disease. The flip side becomes
12 more problematic for us. That is, suppose you have
13 something like acute exacerbations of chronic
14 bronchitis or acute bacterial sinusitis, those are
15 the kind of indications we see the majority of.
16 How do we use that data to support the more severe
17 diseases?

18 DR. GILBERT: Well, I don't know the
19 etiology of acute exacerbations of chronic
20 bronchitis. I don't think it is often bacterial.
21 But for the sinusitis and otitis I believe the
22 double tap studies because then you have a
23 microbiologic endpoint and you are showing
24 eradication of the organism. Those are very
25 believable, very credible and carry a great deal of

1 weight for most clinicians I believe.

2 DR. SCHELD: I guess one of the questions
3 that you are asking is if you had a double tap
4 study and showed drug X was effective in acute
5 bacterial sinusitis, can you extrapolate that that
6 would be effective in pneumonia, and I have a
7 problem with that personally.

8 DR. POWERS: Or the other question to ask,
9 Mike, would be could then we use that to ask
10 someone to do just one study in pneumonia instead
11 of two?

12 DR. SCHELD: That is a good question.

13 DR. CRAIG: As a PK/PD person, I am
14 obviously less concerned about combining the sites
15 providing that the concentrations that reach that
16 site are comparable. So, I have no trouble with a
17 fluoroquinolone for pneumonia as I would for
18 sinusitis and otitis media. But if there are
19 differences, then I think clearly one of the things
20 that are starting to show up now is that epithelial
21 lining fluid may be important for pneumonia, and
22 some drugs like vancomycin may not penetrate as
23 well there and that might contribute to some of the
24 failures. Then we may see something different in
25 pneumonia that we are not going to see in the

1 tissue infections like skin and soft tissue
2 infection with vancomycin if there is inability of
3 the drug to penetrate to where the organism is.

4 So, I think we have to know a little bit
5 about the pharmacokinetics of the drug, but if the
6 kinetics are the same getting the drug there, then
7 I am more than willing to combine the information
8 from the different sites.

9 DR. EDWARDS: Let's continue to pursue
10 that question. Do others feel the same way on that
11 side of the table? I mean, this is a critically
12 important question here, combining different sites
13 from two studies at the same site. Yes, John?

14 DR. BRADLEY: The issue of drug exposure
15 at sites was brought up earlier and I think the
16 drug exposures at each site needs to be evaluated
17 before one can make that extrapolation. Clearly,
18 middle ear fluid exposures are different than
19 serum. Clearly, CSF exposures are different than
20 serum. So, given that caveat that you have nice
21 PK/PD at the site, I am very happy to extrapolate.

22 DR. CRAIG: Yes, I think the places where
23 there are clearly differences, potential
24 differences, ELF, epithelial lining fluid, CSF,
25 humerus of the eye and, of course, urine, those are

1 the primary sites that I think are different and
2 there are a lot of microdialysis studies now
3 looking at free drug concentrations in tissues and
4 we are talking about extracellular pathogens. The
5 other place where things are obviously different is
6 intracellular pathogens. There, the extracellular
7 concentrations of the drug can markedly differ.
8 So, it would be very difficult to extrapolate when
9 you are talking about maybe drugs that are active
10 against intracellular pathogens.

11 DR. EDWARDS: John, do you want to pursue
12 that in more detail?

13 DR. POWERS: I guess what we are getting
14 to is that it sounds like some things are
15 combinable but, Mike, from what I heard from you I
16 guess it depends, the degree of what is combinable
17 as to which diseases support other diseases.

18 DR. SCHELD: I think we are all saying the
19 same thing. If you have good PK/PD data at the
20 site that you can predict, it depends on drug and
21 bug. But you can combine that information. I
22 think that is probably okay. If you had an
23 extracellular pathogen that was going to be in
24 either pneumonia or a sinus infection and you had
25 good PK/PD data but, based on a lot of work Bill

1 has done, you could extrapolate how the drug works
2 in ELF you should be able to put that information
3 together. But you wouldn't be able to predict how
4 some drug is going to do in Legionella from a
5 sinusitis infection. You just can't do that
6 obviously.

7 DR. TALBOT: the only thing I would add to
8 that--I agree, the premise is that one has to be
9 sure to ask some of the questions at least about
10 drug-disease and drug-patient interactions. If,
11 for example, you are saying that the concentrations
12 achieved in ELF are adequate you should be okay
13 because you have the same ratio as has been
14 demonstrated for sinus or whatever, but the
15 question still has to be raised what is the nature
16 of that ELF. Is that ELF in a normal subject, or
17 is that ELF in a subject with cystic fibrosis or
18 chronic bronchitis, or what-have-you?

19 In principle, I like the idea and I agree
20 with it but I think you do have to be somewhat
21 cautious because of the drug-disease and
22 drug-patient interactions. It is not
23 insurmountable but it has to be considered.

24 DR. EDWARDS: Yes, Mark?

25 DR. GOLDBERGER: I think the last few

1 minutes has highlighted to us one of the really
2 potential values of PK/PD, and that is in really
3 being able to enhance the ability to make a
4 rational approach to combining data from different
5 studies in different indications, i.e., different
6 body sites. I think that we recognize that this is
7 a significant concern to industry in terms of the
8 amount of clinical data that has to be produced for
9 a multi-indication development program. This is a
10 way to probably reduce that amount of data,
11 probably also help focus on how one can get a
12 resistance claim by effectively combining a number
13 of isolates from several different body sites and,
14 yet, do it in a way that is rational so people
15 actually feel comfortable making that
16 extrapolation. So, I think that this is actually
17 quite important and an area that is probably
18 certainly worth pursuing to make sure we have an
19 adequate understanding.

20 The other comment I would just like to
21 make briefly is something in response to what Dr.
22 Gesser and Dr. Talbot said. Dr. Gesser raised a
23 very good point with regards to levofloxacin and
24 PRSP, for instance. Some of our own internal
25 discussions, you know, when we talked about how

1 many isolates of PRSP do you need to grant that
2 indication for levofloxacin or how many cases, I
3 mean, we came up with potentially doing it on the
4 basis of zero patients since, in theory, based on
5 what you knew, you wouldn't need any patients.

6 The reason we felt that you ought to have
7 some goes back to sort of a slight modification of
8 what Dr. Talbot just said talking about, for
9 instance, drug-disease, drug-patient interactions.
10 There is also the issue of who gets certain
11 infections. Are the people who get infected with
12 PRSP the same who get susceptible pneumococci? Our
13 feeling was because there was the possibility that
14 people with PRSP might be somewhat sicker patients,
15 it would be useful to have a limited amount of
16 clinical data. The reason, in fact, that a small
17 number was sufficient for levofloxacin was, (a) in
18 susceptible patients the performance of the drug
19 was outstanding, close to 100 percent cure
20 including every patient with bacteremia and, (b)
21 the performance in the PRSP patients, although a
22 small number, was also 100 percent. That was the
23 underlying basis. It is certainly a topic worth
24 discussing, but our own perspective was that those
25 patients might be different and it seemed prudent

1 to get a limited amount of data in them.

2 DR. POWERS: Can I bring up another point?

3 Dr. Gesser, what you said about

4 levofloxacin--remember, that development program

5 for looking for those 15 isolates started at a time

6 when the organism wasn't as prevalent. And, I

7 think there is a double-edged sword to this as

8 well, and that is what Dr. Talbot said about being

9 proactive. On the flip side then how difficult is

10 it to obtain those cases?

11 The next question that comes up is can one

12 design a study knowing now what some of the risk

13 factors are for patients to have resistant

14 organisms, and more focus your development program

15 to those people so that you are not looking at 3000

16 people to get 15 isolates? You can sort of zero in

17 on them a little better.

18 DR. EDWARDS: Dick, what comments do you

19 have about that last point, identifying risk

20 factors?

21 DR. WENZEL: Well, you could for certain

22 organisms when you know them, obviously. I mean,

23 if I wanted to find triazole-resistant candida I

24 could probably go into a unit that has been using

25 gluconazole for two years and use some other sort

1 of clinical measures for people who are at risk for
2 getting infection. So, I think the approach is
3 doable, and I think it is, John, probably a
4 reasonable effort to try and be efficient. There
5 is a lot we don't know yet, particularly related to
6 resistance, and they change all the time, as you
7 know, because just trying to predict VRE it turns
8 out that we might say, you know, well, we will
9 track everybody who has had vanc. before,
10 cephalosporins or anti-anaerobic drugs. It turns
11 out that we can also look at people who have
12 methasone-resistant staph. and we are going to find
13 a big chunk of them that way and vice versa as
14 well. So, I think that approach is right and may
15 be of some use to industry.

16 DR. CRAIG: In SID specifically looking at
17 techniques, looking at clinical characteristics to
18 try and identify where the resistant organisms are
19 so that one might be able to enhance your yield
20 but, unfortunately, what quite oftentimes comes out
21 is length of stay and sometimes the patients that
22 don't meet other qualifying factors are, therefore,
23 eliminated. To me, the biggest group of adults for
24 penicillin-resistant pneumococci and
25 macrolide-resistant pneumococci are the HIV

1 patients but, unfortunately, that is a population
2 that is usually excluded from most clinical trials.
3 They are the group that I mentioned earlier. When
4 you look at the failures, that is where you find
5 the failures, they are all in patients who have
6 some immunocompromise. So, that is the enriched
7 population where you can also see whether the
8 comparator agent is going to be successful.

9 DR. POWERS: So, the next question would
10 be should those patients be excluded from clinical
11 trials.

12 DR. CRAIG: Well, I am not sure they
13 should.

14 DR. DERESINSKI: Can I take a shot at
15 that? For the AIDS patients I think when you look
16 at the failures, the failure rates are directly
17 related to CD4 counts and the people that often
18 fail are people with HIV disease that have CD4
19 counts less than 100 or less than 50. Where the
20 frequency of the disease is very common across all
21 CD4 counts and oftentimes it is the presenting
22 complaint for a lot of these patients I would
23 suggest that immunocompromised patients with HIV
24 that get pneumonia that have CD4 counts above a
25 certain level could be included in these trials to

1 enrich the population.

2 DR. ECHOLS: If I might comment from some
3 recent experiences, we presented data from a Phase
4 II program that involved over 1000 subjects with a
5 variety of respiratory tract infections where we
6 did population PK on I think probably 700 or 800 of
7 them, trying to draw a correlation between drug
8 exposure and susceptibility of the organism and
9 response. It is a very enriched database but
10 ultimately, since most of the organisms were highly
11 susceptible and the drug exposures were so high,
12 you really couldn't draw any meaningful endpoints
13 from it, but the data was there. The data is there
14 for people to chew on and, hopefully, the agency
15 will find some utility in it.

16 Then going to Phase III and doing
17 population PK studies, we also did it for a
18 different reason in an entire Phase III program
19 conducted globally. There are certain practical
20 aspects that people need to be aware of. When you
21 go out to 500 study sites around the world and you
22 are talking about timed specimens, it is not a
23 Phase I unit or even a Phase II program where you
24 have things that are much more controlled. If
25 someone comes in with pneumonia in the middle of

1 the night and gets a dose and then you expect to
2 get a timed two-hour post-first dose PK sample, it
3 often doesn't happen, or the labeling gets messed
4 up and so someone has a level which is supposed to
5 be a trough or vice versa. It is very difficult to
6 do in a large Phase III program.

7 The other comment that I would like to
8 make is that we have often talked today about
9 surrogate markers. A surrogate marker, in the eyes
10 of sort of a clinical scientist, is a very useful
11 tool. In the minds of our regulatory colleagues, I
12 think it often is a challenge to determine what the
13 validation is, what the clinical validation is. It
14 is one of the questions that keeps coming up, what
15 is the data to support that this surrogate marker
16 actually demonstrates clinical benefit?

17 Again, particularly in infectious
18 diseases, whether it is antivirals or antibacterial
19 infections or antifungals, it is a three-part
20 process. It is the host; it is the organism; and
21 it is the drug and its exposure and it is not
22 simple. Every time I try to look at databases that
23 we have generated with PK/PD, it is not easy to say
24 that someone with a certain relationship between
25 drug exposure has a bad outcome and someone else

1 has a good outcome.

2 I think if we can't somehow make a leap of
3 faith based on enriched science at all levels, and
4 if we keep coming back to saying, well, where is
5 the data to validate a certain surrogate marker, we
6 are really not going to progress anywhere. I would
7 predict that, outside of very well-controlled
8 probably animal models, once you get into the
9 human, and particularly larger clinical trials, the
10 correlation just doesn't hold up. So, we are left
11 with this dilemma. If certain surrogate markers
12 have reached a point where they are valuable, then
13 I think at some point we have to make a leap of
14 faith and say that is the best we have and that is
15 what we can use.

16 But to keep coming back and trying to
17 validate them--I mean, it took ten years to
18 validate PCR in HIV and another three years to
19 finalize the guidance--actually, five years, from
20 1997 to 2002; it just came out. But even that was
21 a very difficult process. It couldn't be
22 reproduced today because the clinical endpoints
23 aren't there.

24 DR. EDWARDS: Dick, before we go on, I
25 wonder if it is possible for you to comment on the

1 confounding variable of comorbid conditions which
2 may negate a proper analysis of the PK/PD data?

3 DR. WENZEL: I am still reeling from
4 Roger's point. I get anxious every time I hear
5 surrogate markers so I have to at least explore
6 that just a little bit. If you mean by a surrogate
7 marker something that already has been correlated
8 with outcomes, as Bill had said earlier, that is
9 one thing. When I hear you say leap of faith, that
10 gives me chills because I think we should not go in
11 the direction of a leap of faith if we don't have
12 that correlation or it is an in-line relationship
13 of cause to effect.

14 Do you want me to go on to the second
15 point or let Roger talk?

16 DR. ECHOLS: Leap of faith--I mean no one
17 wants to make a leap of faith. It is like jumping
18 off a cliff and saying, "gee, I hope I land on a
19 nice, soft cushion," or something. But what I was
20 trying to point out is that John tomorrow, or
21 others, might say what is the role of the
22 antibiotic in meningitis, and it is to sterilize
23 the spinal fluid. But even that is a surrogate
24 marker. If you tried to validate sterilization of
25 CSF at 48 hours with clinical outcome based on the

1 last ten years of meningitis data, I would say you
2 can't do it.

3 DR. WENZEL: But do you want to use it or
4 not then?

5 DR. ECHOLS: Pardon?

6 DR. WENZEL: Do you want to use it or not?

7 DR. ECHOLS: I do want to use it, as we
8 will see tomorrow. But if you basically say that
9 sterilization of CSF is a surrogate marker for
10 clinical outcome, to validate that based on
11 empirical clinical trial evidence, I don't think
12 you will be able to do it.

13 DR. WENZEL: If you can't predict an
14 outcome from the sterilization, then you shouldn't
15 use it.

16 DR. TALBOT: Could I just mention that my
17 talk later this afternoon is going to address that
18 example and this question and maybe how you can
19 sidestep it a little bit. Those are exactly some
20 of the issues that have been concerning to me.
21 Also, as you correctly point out, the fact that I
22 think the terminology that we use revolving around
23 surrogate marker perhaps isn't conducive to mutual
24 understanding yet. I think the three groups here
25 probably have somewhat different ideas of what a

1 surrogate marker is.

2 DR. ECHOLS: That is a good point because
3 we use that term somewhat loosely.

4 DR. TALBOT: Right.

5 DR. ECHOLS: And it can be a really
6 difficult thing to nail down.

7 DR. TALBOT: Yes. So, a surrogate marker,
8 as I think I mentioned in February, may be a fine
9 endpoint for clinicians but, as you pointed out,
10 for our regulatory colleagues that raises hackles
11 whereas for PhRMA it sure would be nice. So, I
12 will come back to some of those points in my
13 presentation this afternoon.

14 DR. CRAIG: I think you can use the animal
15 model data, as I mentioned, with kinetics and doing
16 some Monte Carlo simulations to actually look at
17 what in a Phase II clinical trial you might be able
18 to come out with, with some resistant organisms.
19 If you had done that with your compound looking for
20 pneumococci the data would have told you don't
21 bother looking; you are going to be so high with
22 your values you are probably not going to stand a
23 ghost of a chance of showing it and if it does come
24 out, it is probably not real.

25 So, I think you can use PK/PD to help you

1 make your Phase II studies better so that you stand
2 a chance of actually being able to come out and
3 support it. I think that is one of the reasons why
4 you only had a third that said it was clinically
5 significant. The final tie of tying a lot of this
6 data with the clinical data is still somewhat slow
7 to come. It is that final tying the bow around
8 everything that I think is what is required to
9 really get overall acceptance.

10 DR. GILBERT: Mr. Chairman, I would just
11 like to ask a procedural question. Does the group
12 think it would be useful to have some consensus
13 votes here. We are discussing a lot of key issues
14 and perhaps, with the motivation of establishing
15 some degree of clarity, if we had non-binding
16 consensus votes on some general issues, would that
17 be helpful or agreeable or not? I have two in mind
18 if the group so wishes.

19 DR. EDWARDS: Well, let me open that up
20 for discussion because it is a complicated
21 question. Let's see if we can get a consensus on
22 the answer.

23 DR. POWERS: I guess our idea when we
24 initially started this was that this was supposed
25 to be a scientific discussion and non-binding in

1 any way. On the other hand, if people want to
2 voice their opinion by way of a vote, we would be
3 happy to hear it.

4 DR. GILBERT: Well, let me throw two ideas
5 out just so the discussion on whether we should do
6 it or not is focused. What I have in mind, and
7 some of this came from discussions during the
8 coffee break, is a consensus that on the list of
9 resistant pathogens of public health significance
10 at the present time there is agreement that
11 resistant staph., methicillin-resistant and
12 glycopeptide-resistant staph., VRE, the resistant
13 pneumococcus to a variety of pathogens and these
14 multi-drug resistant non-fermentative gram-negative
15 bacteria, pseudomonas, acinetobacter, would be on
16 the list. There are obviously many other
17 candidates that could come, not come, or whatever,
18 but that we have a list.

19 Then, the second consensus for vote would
20 be that we want to capture pertinent PK/PD data in
21 package inserts, whatever constraints are
22 eventually put on them but to not just lose that
23 data for use by the professionals that would find
24 that data of value in addition to everything else
25 that is in a package insert.

1 DR. EDWARDS: In having a similar meeting
2 at the end of the MSG meeting, we started our
3 meeting saying we were not going to have a
4 consensus. The leader of that meeting set that
5 premise down for the structure of the meeting. I
6 personally had a total aversion to that whole idea
7 because I sort of think very concretely and I like
8 lists and all sorts of things. As it turned out, I
9 think that meeting was more productive than had we
10 actually systematically tried to have a vote and
11 arrive at a consensus.

12 I am feeling a little bit this way at this
13 moment, Dave. I think the two situations you have
14 just suggested we probably all pretty much agree on
15 unless I am misinterpreting the progress of the
16 meeting. I think that the list of pathogens that
17 you suggest would be on the list of 90 percent of
18 us here. The big issue, and I don't think we are
19 able to do it, would be to make the next list and
20 that could get very complex and very difficult, and
21 I am not sure that is the purpose of our meeting.
22 If the idea were that we were to put forward the
23 notion that we felt very strongly that some sort of
24 an organized, feasible mechanism existed to create
25 the list and update the list and continually keep

1 the list current, that is the sort of consensus
2 that I think I would be in favor of and I think
3 such a structure would be something we really
4 haven't talked about in much detail. I mean, there
5 have been some suggestions made involving the FDA,
6 the inter-agency task force, a group that is
7 beginning under the auspices of the IDSA, so
8 heading in that direction I think might be
9 something that would be concrete and useful.

10 I am just not sure that we want to conduct
11 this meeting voting regularly on a specific issue.
12 How do others feel about that? And, is the
13 discussion format useful? Let me ask that question
14 to the FDA at this point.

15 DR. POWERS: I think we have gotten a lot
16 of helpful information already today, and some of
17 these things we are going to address--Roger, your
18 point about microbiologic endpoints, we are going
19 to get to when we talk about specific disease
20 states in a lot more detail. George is going to
21 talk about it this afternoon. I think this is very
22 helpful to us.

23 I guess one of the issues I would have,
24 Dr. Gilbert, is that you slipped
25 macrolide-resistant strep. pneumo. on that list,

1 which is one of the things we were asking about,
2 some guidance on whether that should be on a list
3 or not. So, that is the kind of thing we want to
4 hear some more about.

5 DR. TALBOT: Could I just mention that I
6 would suggest, short of the alternative of voting
7 on whether we want to vote--

8 [Laughter]

9 --I would support the chairman's proposal
10 to keep it a bit more general. The other point
11 about the list, to extrapolate from your point, Mr.
12 Chairman, it seems to me that there could
13 reasonably be an A list and a B list, and the B
14 list would be the watch list, those that are
15 emerging into the realm of potential public health
16 risks but maybe aren't quite ready to get there
17 because they don't meet the criteria. So, maybe
18 macrolide-resistant strep. pneumo. is on that list.
19 It might never make it to list A but it would show
20 that the community of all of us here has to revisit
21 that periodically. That would ensure a mechanism I
22 think to keep the A list a living, changing list.

23 DR. HINKLE: May I comment?

24 DR. EDWARDS: Yes.

25 DR. HINKLE: I don't have any debate with

1 your list of pathogens of public health interest.
2 I agree completely. But I struggle, as George
3 mentioned earlier today, to understand what belongs
4 on the B list or A list without understanding what
5 we are going to do with the list. MRSA is clearly
6 a pathogen of interest. I can recruit patients
7 into clinical trials with MRSA. If you believe
8 quinolone-resistant Strep. pneumoniae is a pathogen
9 of public health interest, I can put a patient in a
10 clinical trial for that. So, how we handle those
11 is very different for me. So, the list is a fine
12 concept but it seems to me we are putting the cart
13 before the horse; what are we going to do with it?
14 I don't understand that yet.

15 DR. GILBERT: We have a lot of
16 constituencies here to respond to your query, but
17 it seems to me it is multifaceted. Certainly, it
18 has import in a public health significance for
19 which ones we are going to track and which ones we
20 aren't going to track. Which ones are we going to
21 follow the trend for and, therefore, start
22 discovery, development and so forth early to
23 anticipate rather than to react. It has
24 implications as far as funding from Congress.
25 Should the Institute of Medicine do a study on

1 highly resistant organisms to make this visible to
2 the public? Increased funding of agencies that
3 would be involved in the public health aspects of
4 it--I mean, it is multifaceted. I think it could
5 be very useful to many constituencies.

6 DR. EDWARDS: To summarize the consensus
7 discussion, let me say this: Dave, in spite of the
8 fact that you brought this idea up to me at the
9 break and I acted very enthusiastically about it--

10 [Laughter]

11 --and now I am about to say that I think
12 maybe we ought to just hold off on a structured
13 voting consensus sort of format as long as this
14 discussion continues to be useful, and perhaps we
15 will come back and revisit the idea as we go into
16 other areas. Yes, Mike?

17 DR. SCHELD: I would like to get back to
18 one thing that Mark said and hear from some of my
19 colleagues because I think it would be of great use
20 to the agency if we felt, as you probably do, that
21 eradication of a resistant pathogen from one body
22 site could be predictive in another body site, and
23 if you knew PK/PD data at those two body sites
24 could you use that data in aggregate. I would say,
25 given some of the caveats that we have heard from

1 our colleagues, especially Bill, yes, you could do
2 that under certain circumstances.

3 DR. POWERS: Tim sort of brought this up
4 too, that is, where are we going with all this
5 stuff? It sort of gets back to that initial point
6 and this is something, George, that you brought up
7 back in February, and that is sort of laying out an
8 outline for how one would approach this.

9 The first question one could ask is
10 suppose you had a drug that was active against
11 vancomycin-resistant Staph. aureus and nothing
12 else, are you ever going to develop that? Your
13 market now is two patients so that is not going to
14 get developed. So, as a practical matter, the
15 drugs that are going to get developed have probably
16 activity against susceptible pathogens, including
17 the common ones in a particular disease and the
18 resistant pathogens.

19 The thing that George brought up back in
20 February was this idea we have up there right now,
21 demonstrating that your drug is effective in a
22 disease where that resistant pathogen is most
23 likely to be found. For instance, MRSA is most
24 likely found in skin infections and pneumonias.
25 So, the first hurdle would be show that your drug

1 actually works in pneumonia, period. The second
2 thing would then be to come in with some minimal
3 amount of clinical data, supplemented by PK/PD, on
4 eradication of organisms at various body sites and
5 use that information to support the resistance
6 information.

7 Then the question comes up of the
8 magnitude of that in clinical information. The
9 reason why you need any clinical information, to
10 answer Dr. Gesser's question, is are there host
11 differences for who gets susceptible pathogens
12 versus who gets resistance?

13 The third question would be are there
14 differences in the magnitude of how much clinical
15 information you would want to see for the in-class
16 type drugs versus the out-of-class type drugs where
17 you are not as worried about, say, a quinolone for
18 penicillin-resistant pneumococci because the
19 mechanism is different?

20 We see that as a three-step outline and
21 that is what we would like to hear some comment
22 about. I can blame it on Dr. Talbot because he
23 suggested this back in February.

24 DR. EDWARDS: Bill?

25 DR. CRAIG: I just wanted to add that one

1 of the other clear sites where we see MRSA, and we
2 don't even have a guideline for, is primary
3 bacteremia which is a significant pathogen, which
4 was discussed at the advisory committee in the past
5 and it was the recommendation of the advisory
6 committee, and I think the only thing that came out
7 so far was for catheter related, not for primary
8 bacteremia which is clearly a significant problem
9 that results in death with inappropriate use. So,
10 I think that would be an area where it would
11 increase the opportunity for PhRMA to develop drugs
12 with a primary bacteremia guideline.

13 DR. GILBERT: I would just like to echo
14 that because there is a heck of a lot more of
15 primary staph. bacteremia than there is
16 hospital-acquired pneumonia due to staph., which I
17 think is a pretty rare entity if you use strict
18 criteria.

19 DR. EDWARDS: I can't resist making a
20 comment about the same principle applied to
21 candidemia, as we have discussed on many occasions.
22 Yes?

23 DR. CRAVEN: In answer to your question,
24 is there a difference between risk factors for
25 people who have resistant organisms compared to

1 sensitive organisms? Taking pencillin-resistant
2 Staph. aureus as an example, I think there is a
3 lot. In studies that have been done there has been
4 a whole series of clinical studies looking at
5 bacteremias with MSSA compared to MRSA. Usually
6 they are in the hospital a little longer; they have
7 had more antibiotics; they have more comorbidities;
8 they are in the ICU; they have more devices. So, I
9 think you have to be really careful about trying to
10 extrapolate data from sensitive strains to
11 resistant strains.

12 Likewise, I think you have to be very
13 careful about trying to extrapolate data from one
14 particular site to another site. What happens in
15 these sites is very complex. It has to do with the
16 organism, the host defenses, the underlying
17 diseases, etc. Also, for staph. the point that was
18 just brought up is really important because a lot
19 of these patients have primary or secondary
20 bacteremias so they seed not only one site but they
21 are seeing five or six sites, like bone disease,
22 osteomyelitis, epidural abscess, splenic abscess,
23 etc. and I think it is very hard, particularly with
24 Staph. aureus, to try and lump these into a
25 category so that you could expedite your drug. The

1 worst thing to do I think is to expedite a drug and
2 then have a lot of caveats that weren't really
3 understood, and then you have a lot of problems
4 afterwards.

5 So, I personally would be very reluctant,
6 particularly just using staph. as an example. You
7 would have to look at each organism, virulent
8 factors, etc. because it varies by different
9 pathogens. I would be reluctant for Staph. aureus
10 to make those extrapolations.

11 DR. POWERS: Could I ask another question?
12 I guess the issue you just hit upon is why we would
13 like to see some clinical data for people with
14 resistant organisms as opposed to none. So, the
15 question we really have is how much. That is
16 certainly what the folks from PhRMA are asking us.
17 How much data would one want to see then for the
18 resistant isolate? Say that it is a given that it
19 works for susceptible ones?

20 DR. CRAVEN: I think that is a complex
21 issue and we probably shouldn't digress, but we can
22 discuss it separately. I think there are a lot of
23 issues that have to go into it and then you have to
24 sort of decide how you do your studies, design
25 those studies measuring those parameters. There

1 are a lot of parameters, different surrogate
2 parameters. I was going to talk about pneumonia
3 tomorrow. There are some surrogate parameters that
4 are starting to emerge. We generally look at
5 outcomes like death or clinical cures but there are
6 a lot of other markers that we should be using in
7 clinical trials in trying to get this information
8 and trying to get faster drug development. We look
9 at a lot of variables besides our traditional
10 outcome variables.

11 DR. TALBOT: I think with the
12 extrapolation issue there is one thing that one
13 would need to be careful about, and Dick alluded to
14 it. It is the attributable benefit. Let's say you
15 had confidence in your PK/PD driving factors and
16 you knew you would accomplish them in patients with
17 a susceptible pathogen and with a resistant
18 pathogen, both groups similarly, and let's say you
19 knew that the drug worked very well against
20 susceptible pathogens, would you be justified in
21 extrapolating that information to resistant
22 pathogens, and how much data would you need?

23 Well, I think we have said you would like
24 some data just to make sure that you haven't missed
25 something big. But I guess what I caution against

1 is that because those patients with resistant
2 pathogens are different you can't expect to see the
3 same absolute response rates. So, let's say your
4 drug worked 95 percent against vancomycin
5 susceptible enterococci in the urine, it might only
6 work 65 or 75 percent against those that vary
7 because they are more likely to have confounding
8 underlying factors. So, I think one needs to be
9 aware about making a one-to-one conversion in terms
10 of the expected absolute efficacy rates.

11 DR. EDWARDS: Yes, Mark?

12 DR. GOLDBERGER: You can imagine, of
13 course, that our problem is, using the example you
14 just gave, is that 30 percent or so difference
15 simply due to confounding factors, or is it due to
16 something else? You know, we have to try and make
17 that judgment since it makes a big difference in
18 how you ultimately describe a product, say, in
19 labeling.

20 DR. EDWARDS: Yes, Richard?

21 DR. GESSER: I think we all agree that we
22 would all prefer to have patient specific data in
23 the specific situations that we are talking about,
24 but there is a cost and a consequence and that
25 generally is time. Again, I think Dr. Craig made a

1 number of points. Those patients who have
2 resistant pathogens are different in many ways,
3 such that to design a trial to get at the answer to
4 the question of is the outcome in those 14 patients
5 or 20 patients, or whatever, the same as that which
6 you saw in the 300 patients you had in your
7 community-acquired pneumonia program, you are not
8 going to be able to answer that. So, there is a
9 cost entailed and that cost is really waiting.

10 I guess the question again is how much
11 greater assurance, having waited, do you gain, and
12 is there another way to approach that accumulation
13 of assurance, so to speak, and could that be done?
14 Let's say there was a critical need or identified
15 need for a specific agent in a specific
16 circumstance, one of the ways we heard was that
17 maybe we can get at this by enriching clinical
18 trials to select for that population. We have all
19 tried to do that to a certain degree to this point
20 and we haven't been that successful. Maybe we can
21 be more successful in the future and certainly that
22 is going to be an issue that we will talk about as
23 we go on. But could you stage this level of
24 assurance? For example, make an agent available in
25 a limited way with a commitment to supply patients

1 specific data as it rolls out, I mean, there are
2 risks entailed in that.

3 DR. GOLDBERGER: Let me just say that sort
4 of our thinking would be, you know, because of this
5 concern that people with resistant organisms are
6 sicker, you take a drug; you get some resistant
7 organisms and you study it in patients with severe
8 pneumonia, whether it is hospital- or
9 community-acquired pneumonia depending on the
10 organism in question. You study it, for instance,
11 in patients who have severe complicated skin and
12 soft tissue infections, including people with
13 significant diabetic infections. You study it in
14 people, for instance, with intra-abdominal
15 infections if it is appropriate for the organism.
16 As you are collecting organisms you are also doing
17 something else, you are fundamentally beginning to
18 show that across a broad range of seriously ill
19 patients the drug can perform well.

20 That helps you with the idea that even
21 though there may be some differences in the
22 resistant organisms you have at least got a handle
23 that this is a drug that you are comfortable using
24 to treat severely ill patients. Then I think your
25 overall comfort level goes up as opposed to simply

1 getting an indication that may be less challenging
2 and then trying to do everything else with a small
3 open-label study that has a mish-mash of patients.
4 That would be, at least ideally, the kind of
5 perspective that, you know, we would sort of have.

6 DR. EDWARDS: At this point, in keeping
7 with the notion of staying on time, we are going to
8 have to suspend the conversation right at the point
9 where we have gotten a real intensity rolling.
10 Perhaps we can come back to it after lunch.

11 Once again, I believe you have a map that
12 describes some suggestions for lunch. For the
13 people who want to have lunch in the cafeteria
14 here, at this table, could you please stay until
15 the room empties out and then we are going to be
16 escorted as a group. Thank you very much, and we
17 will start again at 2:15.

18 [Whereupon, at 12:05 p.m., the proceedings
19 were recessed, to resume at 2:20 p.m.]

20

- - -

1 A F T E R N O O N S E S S I O N

2 DR. EDWARDS: Mark, I had to kind of cut
3 you off at the end.

4 DR. GOLDBERGER: No, I don't know whether
5 people, either from IDSA or industry, wanted to
6 have any further reaction to what I said. From our
7 perspective, we could envision a development
8 program that would help address this issue of the
9 fact that there are important patient factors
10 associated with having an infection due to
11 resistant organisms by having some clinical trial
12 data in indications in which patients are fairly
13 ill, and ultimately, in addition to having the
14 study that would support that indication, the hope
15 would be that if you studied several indications
16 the need to have multiple studies in any
17 indications or, say, in more than one indication
18 would be significantly reduced. You would be able
19 to have some, you know, increased likelihood of
20 getting resistant organisms and, perhaps utilizing
21 some PK/PD data, would feel fairly comfortable in
22 combining the data on those resistant organisms
23 across these indications and you would come up with
24 a package that was reasonable from the point of
25 view of a pharmaceutical company actually being

1 able to implement. It would provide, you know,
2 useful information from a business perspective;
3 would provide useful data that, when it was put in
4 product labeling, people would actually be
5 comfortable that one could state fairly well how
6 the drug was likely to perform; and perhaps address
7 the issue in a simpler way to get some reasonable
8 data in resistance, recognizing the problems with
9 trying to do these large open-label trials as your
10 major basis of getting data in resistance
11 indications which, in the end, sometimes leaves you
12 with hundreds, if not thousands, of patients and,
13 yet, difficulty in actually drawing reasonable
14 inferences as to the performance of the product.

15 The question is whether there needed to be
16 more dialogue about that because that kind of was
17 rather a lot right before lunch.

18 DR. EDWARDS: Yes, Bill?

19 DR. CRAIG: I would just say that I think
20 it is very clear that you would still want to have
21 PK/PD data in there because one of the things that
22 we know is that disease states can alter the
23 pharmacokinetics of a drug and change the protein
24 binding. So, there are a variety of factors that
25 you would want to be able to control for in that

1 kind of group. So, I think doing PK/PD analysis as
2 well would be an important aspect.

3 DR. YOUNG: Mark, just for clarification,
4 do you mean that you would be obligated to do one
5 trial in each of those separate indications so that
6 the information from those single trials would then
7 be pooled to support statements regarding resistant
8 organisms?

9 DR. GOLDBERGER: Yes, in other words, part
10 of it depends on the product in question; part of
11 it depends on the kind of indications you are going
12 to study. If you are going to, for instance, study
13 a product for community-acquired pneumonia,
14 hospital-acquired pneumonia, intra-abdominal
15 infection in, say, complicated skin,
16 community-acquired pneumonia is probably the
17 easiest or one of the easiest of those indications.
18 You might, for instance, do two trials there and
19 one trial in each of the other indications. If one
20 were comfortable about the PK/PD across those
21 different indications one might easily be able to
22 synthesize those five studies into getting all four
23 indications, and if you were able to capture, say,
24 a significant number of resistant organisms, let's
25 say resistant enterococcus out of the complicated

1 skin, a few out of the hospital-acquired pneumonia
2 or out of the intra-abdominal, you might ultimately
3 be able to glean that, perhaps supported by some
4 small open-label study, as a more efficient way of
5 doing a development program.

6 Now, the question is, is that
7 scientifically reasonable, and is it potentially
8 something that is desirable from the point of view
9 of the pharmaceutical companies who have to
10 implement such a program? Dr. Craig gave one point
11 about the PK/PD, which I certainly agree with. The
12 question is are there other comments about that.

13 DR. EDWARDS: George?

14 DR. TALBOT: I think that something like
15 that is reasonable, extremely reasonable. Looking
16 at efficacy against a resistant pathogen is to some
17 extent a side question of a traditional development
18 program when you are going to collect a lot of data
19 in a number of different indications. I am still
20 thinking though that there are going to be some
21 situations where one has a really acute unmet
22 medical need and it would still be highly desirable
23 to have the option of a very focused and
24 streamlined development program. As we discussed
25 in February, one might envision maybe a total of

1 500 patients and extensive reliance on PK/PD data.
2 So, I am reluctant to let go, if you will,
3 considering that latter option, while agreeing with
4 you that in the former case that makes perfect
5 sense.

6 DR. GOLDBERGER: Just to follow-up on
7 that, I mean we talked about that in February and
8 the model for the resistant organism in question is
9 looking at what infection it is. This was talked a
10 little bit about this morning. Is it likely to be
11 found? Let's assume this is a new compound. You
12 would have to do, I think, a clinical trial in that
13 indication, first of all to show that the drug was
14 an effective antimicrobial in a serious illness.
15 You would get some data about, hopefully, sensitive
16 strains of that organism. If this was an
17 out-of-class issue that would give you some
18 additional information. You would supplement this
19 by some study focused at trying to enroll either
20 more organisms in question, whether sensitive or
21 resistant, or just a small study to try to focus on
22 getting some additional resistant isolates. That
23 would get you, with your Phase I other studies,
24 probably up to the minimum number for safety but
25 then you would have to think, well, what are we

1 going to say about this drug in the labeling, and
2 how ultimately should it be made available?

3 Now, if it is an IV only product with some
4 toxicities or if it is a little difficult to
5 administer, in fact, it is probably not that big an
6 issue because the drug's use might be somewhat
7 limited. So, I think that that is another option,
8 but one has to look very carefully at the product
9 labeling and very carefully about whether there
10 needs to be any limitation at all on how the drug
11 might be made available because we are then trying
12 to do something on the barest amount of data
13 possible in terms of understanding how well the
14 drug performs as an antimicrobial and what we know
15 in terms of the safety.

16 As you point out, if there is a clear
17 unmet medical need, if it is a serious illness, we
18 have no other alternative therapies, one therapy
19 with a lot of resistance, etc., etc., you know, the
20 trade-off for those patients in a drug that may not
21 have safety fully characterized is probably
22 reasonable. It doesn't mean you would want to use
23 it, for instance, on every patient that came in
24 with pneumonia. That is the kind of concern that
25 would somehow need to be addressed.

1 DR. TALBOT: Right. Just to make that
2 more concrete, I am speaking exactly about that
3 situation you described and I think the example
4 that maybe Dr. Miller gave about the drug for
5 acinetobacter is what I am thinking of, which is
6 that a drug like that was abandoned because, I
7 assume, it wasn't viewed to be economically
8 feasible to take that anywhere. So, that is the
9 kind of candidate drug that I would be thinking
10 about for this extremely focused program where
11 there would be an acute unmet medical need. Even
12 VRSA might not meet that criterion. For VRSA you
13 might need to have a much more robust database
14 across susceptible isolates, multiple indications,
15 and then get a VRSA indication on top of that with
16 a more focused or enriched population of VRSA
17 cases. That is how I am thinking of it.

18 DR. EDWARDS: Any other comments? If not,
19 we are going to move on to the first part of the
20 agenda for this afternoon, which is entitled
21 regulatory and other incentives in drug
22 development. I will begin with Mark Goldberger,
23 from FDA. Mark?

24 Regulatory and Other Incentives in Drug Development
25 FDA Presentation

1 DR. GOLDBERGER: I will talk about
2 incentives sort of from the point of view of what
3 we currently, at FDA, have to offer. I know we are
4 going to hear folks from industry talk about
5 perhaps other types of incentives. There may be
6 some overlap, including incentives that probably
7 require some type of legislation, you know, to
8 achieve.

9 [Slide]

10 Realistically, we have obviously talked
11 about the problem that antibiotic resistance is
12 increasing. What I am going to cover here is some
13 of our perspective about the issue of facilitating
14 development of antimicrobial therapy for resistance
15 and related claims. Obviously, there is a role,
16 that we are not going to cover so much in this
17 meeting, for preserving the usefulness of current
18 and new drugs in terms of their activity, but we
19 should not forget that this is really ultimately,
20 to be successful, a two-pronged approach.

21 [Slide]

22 There has been a lot of discussion about
23 need for guidances, etc. One thing that actually
24 surprised me a little bit at the meeting today is
25 the idea that if we don't put out some type of

1 written guidance no one will come and ask about a
2 specific situation, a specific new drug, a specific
3 organism. I do want to take this opportunity to
4 disabuse anyone who believes that they are not
5 welcome to call up to arrange either a pre-IND
6 meeting, a telecon, submit an IND depending on how
7 much data they have, etc., to discuss whether a
8 particular organism seems to be appropriate for
9 development, etc. We do try to provide that
10 advice. That advice has the benefit, remember, of
11 being as current as it can be since it will be the
12 thinking at the time that there is communication
13 rather than something that may have been written a
14 couple of years ago and not updated. But we do
15 want to encourage people to recognize that that
16 type of consultation is available in terms of
17 dealing with these issues.

18 We also try, as appropriate, to use our
19 advisory committee if particular questions come up
20 related to certain types of study design in
21 difficult areas. We have done that with otitis.
22 We have done it at times with febrile neutropenia,
23 and a broad range of things. That is something we
24 intend to continue to use.

25 In terms of facilitating development, we

1 have some pretty well-established tools that exist
2 and I will try to go through them in the next
3 couple of minutes--our Subparts E and H fast track
4 designation, and then I just wanted to say a bit
5 about exclusivity.

6 [Slide]

7 Subpart E has been around for 14 years. I
8 might say for those people who were concerned about
9 a draft guidance, Subpart E is, I believe, 14 years
10 old and it is still an interim regulation.

11 [Laughter]

12 In fact, it had its birthday on October 21
13 because it was issued on October 21, 1988. This is
14 for life-threatening and severely debilitating
15 illness. It utilizes a risk-benefit analysis in
16 decision-making. I mean, one of the first places
17 to really talk about the idea of early consultation
18 and increased communication, even starting before
19 Phase I--this is one of the places where pre-IND
20 meetings first came from. It finally talks about
21 the idea that approval is possible earlier in the
22 drug development process basically by the use of
23 what was then described as Phase II data.

24 I think this is very important in sort of
25 setting the standard for applying regulatory

1 flexibility during the development and review of a
2 new product for these types of illnesses. If you
3 read through any of the information about Subpart E
4 you will recognize that it was intended to be
5 applied fairly broadly in terms of the possible
6 illnesses.

7 [Slide]

8 That was followed a few years later by
9 Subpart H, 21 CFR 314.500. That will be having its
10 birthday I think next month. I think that is
11 final. Serious or life-threatening diseases. The
12 idea was a meaningful therapeutic benefit over
13 existing therapy. This is where the idea of a
14 surrogate endpoint that is reasonably likely to
15 predict clinical benefit really came from in terms
16 of the authority to actually use that approach.

17 I found the discussion today interesting
18 about surrogate endpoints. On one hand, there was
19 some discussion on is a microbiologic endpoint a
20 surrogate for clinical response. There was some
21 discussion, yes; some discussion, no. If it was,
22 presumably then it would be okay. I suppose one of
23 the alternate ways of thinking about it is not
24 really a surrogate. Actually, the microbiologic
25 response is all that we need. However, if that is,

1 in fact, true then it clearly must be a surrogate
2 or a predictor because if it didn't predict
3 satisfactory clinical benefit, then it wouldn't be
4 all that we need.

5 I am not sure completely about the
6 differences between those two but basically we do
7 have the option to use microbiologic endpoints in
8 terms of predicting clinical benefit. That is
9 truthfully less of a major issue sometimes in
10 short-term therapy where you are going to get the
11 data fairly soon on both. It became, obviously, a
12 very big issue with regards to HIV where studies
13 have to be much longer. It does give us
14 flexibility certainly in looking at the issue, for
15 instance, in meningitis both microbiologic and
16 clinical endpoints, but there it is really a matter
17 not so much of using it as a surrogate but of
18 understanding how best and most efficiently to
19 combine the use of a microbiologic and clinical
20 endpoint, rather than not having them together,
21 just understanding how much data you really need
22 from each. That is a really different issue.

23 The other things that are covered in this
24 are the issues of confirmatory trials, expedited
25 withdrawal, prior submission of promotional

1 material which I don't think we need to talk about
2 in any detail today.

3 [Slide]

4 This was followed a few years later by
5 fast track which combines parts of Subpart E and H.
6 It talks about a new therapy addressing an unmet
7 medical need. It is worth noting again that this
8 is written quite flexibly. It is talking about an
9 unmet medical need in terms of the drug working
10 better than previous therapy. It works better in a
11 particular population than previous therapy. It is
12 safer than previous therapy. There is a population
13 that can't take the current therapy because of
14 intolerance, or whatever, and in that situation the
15 drug offers a benefit.

16 So, it was designed to be extremely
17 flexible. I think it is very important to realize
18 that. If you read through the guidance about this,
19 it makes it quite clear about that. It also
20 includes a provision to accept for review a portion
21 of a marketing application prior submission of the
22 complete package.

23 It is also worth mentioning that there was
24 talk about if a product came off the list, the
25 infamous list that we talked about this morning,

1 whether it would get priority review. In general,
2 the expectation is that a product that gets fast
3 track designation, and we would be expecting that
4 most of these products would be getting it, you
5 know, the expectation is it will generally get a
6 priority review. I say generally because
7 technically you make the final decision after you
8 look a little bit at the data when it comes in and
9 see if basically the drug worked like it was
10 supposed to. In other words, you can get a fast
11 track designation literally based on not much more
12 than an idea if it is submitted very early in drug
13 development. That is, I have a compound that looks
14 like it would be the first to do such-and-such, it
15 is possible to get a fast track designation on not
16 much more than that. The longer you wait the more
17 information, not surprisingly, you are expected to
18 show.

19 The decision about priority review is
20 ultimately made not upon potential but actually
21 upon results. If the product performed well and it
22 did what was expected of it, you know, the
23 likelihood is that it will, in fact, get a priority
24 review. So, that is the issue. But we will
25 obviously, work with you as much as possible in

1 order to get a satisfactory outcome.

2 [Slide]

3 As far as other regulatory initiatives,
4 there is exclusivity. There is the orphan drug
5 exclusivity, seven years of marketing exclusivity
6 for the compound first for the given indication.
7 The compound could have been an old compound and
8 doesn't have to have any exclusivity to add this on
9 top. There is Waxman-Hatch exclusivity which
10 attempts to give back some exclusivity that was in
11 part, you know, used during the development of the
12 product. It is now available for new antibiotics.
13 I think antibiotics that were not the subject of
14 regulatory or approval action as of sometime in
15 1997 I think.

16 Then there is pediatric exclusivity. The
17 reason I mention that is that it is six additional
18 months added on to existing exclusivity. Some
19 people have wondered whether that type of approach
20 for new antimicrobials or for another drug that a
21 company had in return for developing a less
22 profitable new antimicrobial would be useful.

23 That kind of brings us to the last, which
24 people have had a lot of enthusiasm about, the wild
25 card exclusivity. That is, you develop a drug that

1 doesn't have much of a market and you get some
2 period of your exclusivity added on to a product of
3 your choice which might be a much bigger seller.
4 Basically, that is not currently available. That
5 is something that would require legislative action,
6 but I have heard at any number of meetings over the
7 years a lot of enthusiasm for having something like
8 that be available.

9 [Slide]

10 What are the other things that sort of
11 naturally flow from these issues of increased
12 communication, trying to take approval actions
13 earlier on? A basic one, and we have talked about
14 this already, is reducing the size of the clinical
15 trial program. A lot of what we talked about this
16 morning, and probably will continue to talk about,
17 are ways that we can do that effectively, really
18 focusing on situations where we are trying to meet
19 unmet medical needs of different types.

20 We always have to keep in mind that we are
21 having to address the trade-off between our ability
22 to assess effectiveness and the resources required
23 to perform a trial. Fundamentally what that means
24 is the smaller the trial sometimes, the greater the
25 uncertainty about the results. One of the ways to

1 deal with that is to look across a whole
2 development program, and that can be quite an
3 effective way of dealing with these degrees of
4 uncertainty. When you only have a single clinical
5 trial, as we spoke of a little while ago, even with
6 PK/PD etc., there will always be greater
7 uncertainty and one needs to accept that in terms
8 of deciding whether to go forward and in thinking
9 in terms of how a product ought to be labeled.

10 We talked a little bit, and certainly we
11 talked in February, about the idea of substituting
12 quality for quantity in at least some clinical
13 studies. That is, the smaller numbers of the well
14 characterized patients as opposed to huge
15 open-label trials that enroll hundreds or thousands
16 of patients and, yet, are difficult to draw any
17 types of significant inferences from.

18 I think that we talked a little bit this
19 morning about strengthening the length of clinical
20 inference and, a few minutes ago, the idea of how
21 studies and data fit together as a package. I do
22 believe this may turn out to be one of the most
23 effective ways to move forward, increasing the
24 overall efficiency of the development program in
25 terms of having to get away from the assumption

1 that all indications are going to require, for
2 instance, two trials; having a better way of
3 getting more useful data about resistant
4 indications, etc.; allowing us perhaps as well to
5 use susceptible organisms as well as resistant
6 organisms for resistance claims.

7 I think all those are probably possible.
8 They have the advantage that although there may be
9 unresolved scientific issues, they do not require
10 any kind of change in our formal regulations or
11 certainly statute. These are the kinds of things
12 that are all possible to do, and I think that is
13 one of the reasons to probably really be thinking
14 about them. These are things we can do now. These
15 are things we don't have to wait for additional
16 legislation. The consequences of the above,
17 hopefully, will be a way to move products along
18 faster. There will be some circumstances in which
19 uncertainty may be greater than we are customarily
20 used to, and that is something we have to learn how
21 to deal with and it is something that at one level
22 we are going to have to accept with regards to
23 certain new products.

24 That is nothing new. Certainly, what we
25 knew about products for HIV when they were approved

1 was much less than we are commonly used to, and we
2 were able to live with that even though there were
3 some significant toxicities associated with that
4 because of the benefits. Again, if we are able to
5 identify products that are offering genuine added
6 value, the issues of unexpected or untoward safety
7 events will be more easily dealt with than in
8 situations where the product really represents
9 little change from what is already available.

10 [Slide]

11 As far as some of the scientific issues,
12 we have been through these in a lot of detail and
13 there are still some issues, for instance,
14 sometimes in definitions of resistance. When we
15 were talking about the list this morning we touched
16 upon the clinical importance of some resistant
17 isolates. This is important because there will be
18 times when a resistant isolate, although its
19 clinical importance may be limited from a business
20 point of view, may be very attractive for industry
21 because a large number of patients may, in fact,
22 have infections due to that, or the organism may
23 occur in situations and indications that are
24 attractive to develop and, truthfully, MRSP is a
25 good example since it occurs in upper respiratory

1 infections which are attractive for many companies
2 in terms of developing new products. So, it is
3 important to think carefully about the implications
4 of making decisions about the importance of such
5 isolates.

6 Finally, you know, is the use of
7 preclinical and early clinical trial data in
8 combination, I think again we have touched on that
9 a lot. We may need at some point need to really
10 start thinking about the details of this but I
11 think we have made a reasonable start in that
12 direction. Again, these are all things that are
13 possible to deal with. We certainly don't need any
14 additional legislative authority to move ahead.

15 [Slide]

16 There are some limits to our authority
17 that are worth mentioning. Remember, obviously FDA
18 can't develop a drug. We obviously depend upon
19 industry. That is one of the reasons for having
20 meetings like this so we can have a dialogue and
21 learn what the concerns are from industry; see what
22 the issues are in terms of moving forward. That is
23 why it is extremely valuable, for instance, that
24 the Infectious Disease Society participate so we
25 get a broader perspective.

1 As I said before, new types of exclusivity
2 such as wild card exclusivity would require new
3 legislation. Finally, in preparation for David
4 Cochetto's comments, just a reminder that
5 promotional claims are derived from statements in
6 the labeling. So, we always try to be careful in
7 terms of what is put in the labeling because if it
8 is put in any section of the labeling it can still
9 be promoted. That is not to say that companies are
10 out there constantly advertising things that have
11 no relationship to anything, but it is not to say
12 that that has never occurred either. So, we are
13 always very sensitive about that even though it is
14 helpful for us to hear what types of changes and
15 labeling approaches would be of most value.

16 DR. EDWARDS: Thank you very much. Next
17 we will hear from David Cochetto, from PhRMA.
18 David?

19 PhRMA Presentation

20 DR. COCHETTO: Thanks for the invitation
21 to join you today. I appreciate everyone taking
22 the time to come to this workshop. I think it has
23 certainly been helpful so far and I look forward to
24 the remainder of the discussion.

25 [Slide]

1 I am David Cochetto. I am in regulatory
2 affairs at GlaxoSmithKline, and have been part of
3 the antibiotic working group in PhRMA for several
4 years.

5 I will try to condense a number of my
6 remarks since I think we have touched on many of
7 these things over the course of the morning session
8 and certainly Dr. Goldberger just hit on many
9 things that I can mention, which is good and
10 healthy because it basically shows we really are
11 largely on the same page in terms of the issues
12 that we are facing with antibiotics development.

13 We all recognize, as has been said
14 numerous times, that there are a number of
15 no-brainer target pathogens of public health
16 importance for which medical need clearly exists.
17 I think within the industry, those of us who work
18 in that sector, recognize and struggle with the
19 fact that discovery and development of new
20 antibiotics are at a competitive disadvantage in an
21 R&D portfolio.

22 [Slide]

23 I will just say a couple of words about
24 that. Why exactly are new antibiotics at a
25 disadvantage in R&D portfolios? We have touched on

1 these already today as well. Certainly, most
2 antibiotics are used for limited durations of
3 treatment as opposed to a number of other
4 pharmacologic classes. Prescribers are certainly
5 increasingly trying to avoid non-essential use of
6 antibiotics to decrease selection pressure for
7 resistance and certainly decrease cost of care.
8 From a commercial perspective, the growth of the
9 antibiotics market value is considerably below the
10 average growth of other classes of prescription
11 drugs currently. And, there are declining
12 prescription volumes for antibiotics.

13 [Slide]

14 To the last point, I thought I would just
15 show you some data that we track within our
16 company. This is just one straightforward way to
17 look at the last five years of the prescription
18 antibiotic market in three major regions of the
19 world, the U.S., Europe and Japan. Basically, if
20 you index back to 1997 as a level of 100 in all
21 three regions there is approximately a ten percent
22 decline in prescription volumes for antibiotics.
23 While that is healthy, in a number of respects it
24 is discouraging to some of our companies from a
25 commercial perspective and that creates some of the

1 tension that we struggle with.

2 [Slide]

3 What can be done to position antibiotics
4 more favorably in R&D portfolios? A number of us
5 have touched on incentives over the course of the
6 morning, but basically you can consider incentives
7 in two large pots, if you will. On the cost side
8 of incentives, we have talked about looking for
9 ways to try to increase the efficiency of
10 development of antibiotics since ways to increase
11 efficiency would obviously reduce cost of
12 development. Certainly, ways of leveraging
13 information to reduce numbers of trials, leveraging
14 non-clinical and early clinical data, as Dr.
15 Goldberger just said, can be helpful tools in
16 increasing our efficiency.

17 The other side of the equation is the
18 return side. I think several of us have used
19 various terms for this over the course of the
20 morning. Things that occur on the return side of
21 the equation are things that from an industry
22 perspective would reduce uncertainty in development
23 and lead to solidification of the sense of return
24 on R&D investment in various drugs. I think
25 today's workshop is actually quite helpful in that

1 regard because it demonstrates to industry that
2 there is a receptive environment for these
3 products. Both the medical community and health
4 regulatory authorities are here today, speaking
5 about the degree of medical need for a variety of
6 products in this area.

7 Dr. Goldberger has just walked folks
8 through the application of a number of current
9 regulatory incentives. Within our company we
10 have experience using all of these. There are a
11 number of companies here that have experience as
12 well with Subpart E, Subpart H, fast track
13 designations and priority reviews. There is
14 actually fairly substantial regulatory literature
15 on these things. Suffice it to say, they have been
16 helpful in speeding development of drugs in a
17 number of classes and providing useful incentives,
18 particularly where you can put multiple programs
19 together so that during the IND phase, for example,
20 you have a fast track designation and you leverage
21 Subpart E. Then, in the NDA phase you may be able
22 to leverage both Subpart H and priority review.
23 So, combining these programs can actually be quite
24 powerful. We have touched on a number of aspects
25 of clarifying achievable labeling, and I will say

1 some more about that.

2 [Slide]

3 Market exclusivity--I won't say much about
4 this. Dr. Goldberger has already pointed out that
5 clearly it would require legislation. That is not
6 my forte or the forte of individuals in this room.
7 In terms of extension of exclusivity, I would
8 certainly agree. I think there is pretty clear
9 industry consensus that so-called wild card
10 exclusivity would be very appealing and that would
11 be relatively easy to justify, frankly, compared
12 with a number of other incentives.

13 [Slide]

14 Let's turn to the potential role of a
15 guidance because I think development of a guidance
16 is something that actually is within the purview of
17 this particular group and, as has already been
18 said, is something that the Division can work
19 within FDA to move forward without the need for any
20 new legislation or any new regulation. FDA's
21 history on development of guidance, from my
22 perspective, is actually very good.

23 [Slide]

24 There are dozens and dozens of guidances
25 on many, many disease states, certainly not just in

1 infectious disease. Guidance is tricky in that
2 guidance is guidance. Guidance is very clear in
3 that it represents FDA's best thinking at that
4 point in time, and certainly the burden is on the
5 sponsor organization to check in with FDA on a
6 real-time basis as drugs come forward, potential
7 drugs come forward, to assure that any more
8 contemporary thinking beyond draft guidance or
9 current guidance is incorporated into the sponsor's
10 thinking. As I said, there is a whole range of
11 guidances and many of them I think have really been
12 very, very valuable for development.

13 There is not a current guidance that
14 explicitly addresses development of antibacterials
15 for treatment of resistant pathogens. I know the
16 Division is interested and, in fact, has probably
17 started in this direction. The bottom line of the
18 value of a guidance is that it would reduce
19 uncertainty in the minds of sponsors. Clearly, it
20 would not be a guarantee but would reduce
21 uncertainty to some extent around things like
22 regulatory expectations; the degree of investment
23 needed to work in the area. It would be one gauge
24 of the degree of interest in the medical scientific
25 community in moving the area forward and,

1 hopefully, could provide a certain degree of
2 transparency regarding labeling expectations and
3 potentially Phase IV activities, particularly in a
4 Subpart H kind of paradigm.

5 [Slide]

6 I have touched on these points already. I
7 think Dr. Goldberger as well has. I am basically
8 just reiterating that a guidance can certainly be
9 an incentive to sponsor organizations so I won't go
10 further into that.

11 [Slide]

12 Let me switch to my final series of points
13 really around the potential to address in a
14 labeling guidance a hierarchy of medical scientific
15 evidence that could potentially be translated into
16 a hierarchy of labeling looking across the
17 microbiology section, clinical pharmacology
18 section, indications, obviously adverse reactions
19 and other components of labeling. Mark is
20 absolutely right that labeling translates into the
21 company's claims about the product that can be
22 communicated in other forms of labeling and
23 certainly product advertising as well. So, that
24 clearly states why it is important to sponsor
25 organizations. Labeling has been used historically

1 as a tool to provide incentives for other classes
2 of drug development.

3 [Slide]

4 I think the question for many of us to
5 think about is what is our view about that. Would
6 we support inclusion in FDA guidance of some
7 hierarchy of labeling outcomes based on a hierarchy
8 of evidence of activity and efficacy against
9 resistant pathogens as outlined in some scenarios?

10 [Slide]

11 These three scenarios I have given you
12 represent extremes of a spectrum in a sense. They
13 are certainly not all-inclusive by any means, and
14 actually they have all been discussed essentially
15 already in the dialogue this morning.

16 The first scenario is on the limited
17 evidence end of the spectrum where the sponsor
18 organization has data on in vitro susceptible
19 clinical isolates to the antibiotic, and
20 performance of those clinical isolates with other
21 antibiotics as well.

22 [Slide]

23 In fact, currently it is the case that
24 such data are presented in the microbiology section
25 of labeling, typically under the statement that I

1 am showing here in quotes, that the following in
2 vitro data are available but their clinical
3 significance is unknown. The effectiveness of drug
4 X in treating clinical infections due to these
5 organisms has not been established in adequate and
6 well-controlled trials. So, there is some effort
7 to put the in vitro data in perspective, that
8 clearly there have not been substantial clinical
9 trials conducted; the data are what they are with
10 their limitations.

11 [Slide]

12 A step up from that, in a sense, could be
13 to supplement in vitro data by various PK/PD
14 information where the sponsor would present data
15 demonstrating a PK/PD relationship in humans that
16 is applicable to the resistant pathogen of
17 interest, hopefully, thereby showing a reasonable
18 likelihood of clinical benefit in patients with the
19 infection due to the resistant pathogen. For
20 example, the mean serum drug concentrations
21 associated with benefit in an appropriate animal
22 model are, in fact, achievable in humans with a
23 particular dosage regimen.

24 [Slide]

25 One of the possibilities--essentially I

1 have mirrored the kind of language that was
2 attained in the ciprofloxacin labeling for
3 post-exposure treatment of inhalational anthrax, in
4 the paragraph in the middle. Again, I think this
5 is an extension of some discussions this morning
6 where the key phrases would be that drug X has been
7 shown to be active against pathogen Z both in vitro
8 and by use of serum drug concentrations as a
9 surrogate marker.

10 In the final, the yellow phrases, that
11 serum concentrations of drug X over time in humans
12 serve as a surrogate endpoint that is reasonably
13 likely to predict clinical benefit and provide the
14 basis for this indication. Direct evidence of
15 clinical efficacy is not yet available.

16 So, I think part of the discussion we
17 should have is are there situations where actually
18 obtaining that direct evidence in clinical trials
19 could reasonably be pursued following an initial
20 approval for this limited indication.

21 [Slide]

22 The final scenario is, in part, one that
23 has been done for a few compounds where clinical
24 efficacy is demonstrated. We began a conversation
25 in February, and Mark just alluded to the potential

1 to show clinical efficacy of a drug in a reasonably
2 small number of well characterized cases with an
3 infection due to a particular resistant pathogen,
4 probably recruited into a catch-all type of
5 protocol. We have had some discussion, and I
6 suspect we will have more discussion about the
7 appropriateness of pooling evidence across multiple
8 relevant body sites, hopefully, with supporting
9 PK/PD information. That type of scenario would
10 probably lead to the broadest type of labeling
11 statement where there is explicit language in
12 labeling around the clinical indication that is
13 sought due to that particular pathogen.

14 [Slide]

15 In summary, I think we have recognized
16 that antibiotics are disadvantaged currently in an
17 R&D portfolio. Regulatory incentives and other
18 incentives are needed to stimulate continued
19 investment in this area, particularly for drug
20 resistant pathogens. Wild card exclusivity and new
21 guidance would provide incentives to the extent
22 that they are both marketing, commercial
23 incentives, and a new guidance would be an
24 incentive in terms of reducing uncertainty in the
25 area.

1 Clearly, durable medical interest in this
2 field in the development of new antibiotics is in
3 itself, in my view, a very important incentive and
4 to the extent that the agency, PhRMA, IDSA and
5 other professional bodies continue to focus on this
6 topic, I think that alone will foster increased
7 discussion within pharmaceutical companies for
8 taking harder looks at these targets.

9 Let me stop there, Dr. Edwards, and turn
10 to Dr. Tally.

11 DR. EDWARDS: Thank you very much. Dr.
12 Tally?

13 Biotech Presentation

14 DR. TALLY: Looking at incentives is kind
15 of trying to think out of the box from a biotech
16 point of view.

17 [Slide]

18 Big PhRMA already has adequate funding
19 from large portfolios of marketed products and they
20 are able to pick and choose and have the resources.
21 We have heard that antibiotics have to fight for
22 those resources but there are a lot of people
23 sitting around the table that have been very
24 successful in getting those funds. What we are
25 hearing is that more of the antibacterial units are

1 actually being spun out. So, I think we are going
2 to have a lot of company out in the biotech area in
3 developing antimicrobial agents.

4 We have a different set of issues. We
5 have to go out and raise money and we have to do it
6 in very difficult times. So, there are a number of
7 incentives that may be developed to allow companies
8 in the biotech sector to access more funds. I
9 think we have talked about expanded access in which
10 you have a drug that you know is working in an area
11 and you can have expanded access so there will be
12 some money coming in to the company. We have
13 already talked about the expedited review and
14 patent-term extension has been talked about. You
15 have the Waxman-Hatch Act and there are others.

16 But I think what we can do is also look at
17 some funded consortiums. The model is in cancer
18 and AIDS. There is a lot of government money put
19 into these to establish investigators with
20 different groups. In cancer there are a number of
21 these groups which facilitate doing the clinical
22 trials.

23 When we were looking to think out of the
24 box I got the legal counsel involved and our CFO
25 involved, and they came up with the idea of getting

1 loans. Right now, the biotech industry, if you go
2 for a loan to a funding agency you are at very high
3 risk. If the pharmaceutical company goes you are
4 not a high risk. So, a biotech company has to pay
5 a lot of money to get a loan from a private bank.

6 Well, there are government projects, loans
7 or government guaranteed loans out there, and there
8 were two models that were brought forward.
9 Probably one of the most successful models is the
10 model to induce home ownership, which was
11 determined a number of years ago to be a very good
12 thing for the American economy. The government
13 then formed a couple of companies called Fannie Mae
14 and Freddie Mac. What this did was guarantee low
15 loans, or actually loans to returning servicemen at
16 no interest rates. That prompted tremendous home
17 ownership, which in the United States runs upwards
18 of 70 percent. That same thing was actually done
19 in England to increase home ownership about 15 or
20 20 years ago through another loan process.

21 So, it worked. What did it do? It
22 stimulated the economy, more home building. It
23 increased people's pride in their homes and really
24 is one of the engines that has driven our economy.
25 Can this type of program be put together where

1 biotech companies can go and get a low interest
2 loan to carry out their clinical programs?

3 There is actually another model out there.
4 It is called small business loans. But most
5 biotech companies that have a drug that they are
6 bringing into development are much too big to
7 qualify for that particular type of loan. But
8 since the model is already out there I think it can
9 be talked about. Both of these would take,
10 obviously, legislative approval to do it, and the
11 guarantied loan is, of course, repayable upon
12 commercialization of the agent that you are going
13 out for. So, that is one of the areas I think we
14 could work on from a biotech view. I know the bio
15 group is looking into legislation for some of
16 these.

17 [Slide]

18 There are three other areas I think that
19 the biotech can look at. One is tax credits or
20 deductions. Right now in the United States it is
21 only valuable to profitable companies. Most
22 biotech companies have been losing money for years
23 and having to go into the public market.

24 There are two things that can be done with
25 tax credits. The first one is to extend the period

1 for tax loss carried forward. That would make a
2 company that is about to bring a drug onto the
3 marketplace be able to become profitable quicker by
4 applying those types of carried forward tax losses.
5 Right now the limit is seven years. It is about as
6 long as it takes you to develop a drug so just when
7 you have the drug your tax credits drop off the
8 precipice and they are not worth anything. So,
9 that could be one legislative thing.

10 The other is transferable tax losses.
11 There are such laws in Europe and in Canada where a
12 company that is not profitable can sell their tax
13 losses to another profitable company at a discount
14 rate to raise money that way. This would take a
15 legislative move in the United States also but it
16 is something that I think bio is working on right
17 now with.

18 We know there are targeted grants out
19 there. SBIRs, I am sure most biotech companies
20 have them. We have talked about CRADAS at the
21 inter-agency task force meeting about a year ago,
22 but the problem with CRADAS is that the companies
23 lose control and it takes forever to get them
24 approved and you can't keep up with your time line.
25 So, I think we looked at CRADAS for funding Phase I

1 and II studies. We need to streamline the process
2 and not lose total control of how to conduct these.

3 Finally, my legal counsel threw out that
4 maybe the government can give rebates on
5 successfully completed studies, but if I get a
6 successfully completed study I can go out and raise
7 money and probably don't need the rebate with it.

8 These two slides are just trying to think
9 out of the box on some of the different ways that
10 the biotech industry would look at getting
11 incentives to continue the drug development in
12 times of short cash. Thank you.

13 Discussion

14 DR. EDWARDS: I want to thank all three
15 speakers for thoughtful and very nice discussions
16 in this area. We have a few minutes to open the
17 issue up for discussion. Does anyone have a
18 comment they would like to start with?

19 DR. POWERS: Can I ask a question, Jack?

20 DR. EDWARDS: Yes.

21 DR. POWERS: Dave, could I ask you a
22 question about some of the proposals you put up on
23 your slides? One of the things I think we heard a
24 couple of times this morning was the idea that
25 eventually clinicians would like to see how the

1 drug performs in people and get that clinical data,
2 which was the third proposal of your three things.
3 I am just asking this as a question, if a company
4 were to get in their label that the drug has
5 activity in vitro and it goes on the microbiologic
6 list, is that a disincentive for the company then
7 to pursue getting that clinical data down the line?
8 In other words, one could imagine that a
9 pharmaceutical representative walks into a doctor's
10 office and says our drug has "activity" against
11 this pathogen, which might then be perceived by the
12 clinician as this drug works in clinical disease.
13 So, is it a disincentive then to get that future
14 clinical data from patients?

15 DR. COCHETTO: I will comment and with my
16 two colleagues on the right we represent three
17 companies and they may want to comment. I guess
18 there are two things I can say about that. On the
19 one hand, I suspect it is not a disincentive to
20 have that in labeling because at the same time I am
21 pursuing that, for example, on a GSK product
22 Richard is pursuing it at Merck, in an ideal world,
23 and Roger is pursuing it at BMS, and a number of
24 other companies, and ultimately I think we are
25 probably also all pursuing clinical evidence. So,

1 in that type of step-wise progression I don't think
2 it is a disincentive in that I think we would want
3 to be moving forward. Recognize that the ability
4 to really impact practitioners based on in vitro
5 data alone is going to be somewhat limited.
6 Although it is helpful in an arena where probably
7 there aren't very many therapeutic choices,
8 ultimately the clinical data is what is going to be
9 more impactful. That is one comment.

10 The second comment is that to some extent
11 it depends on some of the regulatory mechanics. I
12 mean, if that target pathogen were sufficiently
13 important that the sponsor and the agency were
14 willing to engage in trying to move that
15 registration sooner in time, one of the ways that
16 could be done is to look at the Subpart H
17 provisions where delivery of a certain amount of
18 clinical evidence would actually have to be
19 presented as confirmatory data.

20 Those are two comments. I don't know if
21 you, gentlemen, have others.

22 DR. ECHOLS: Actually, I think it can be
23 controlled, I mean, either as a Phase IV commitment
24 to provide clinically relevant data and failure to
25 do that would result in removal of the information

1 from the in vitro section. I mean, there is an
2 appropriate stick to go along with the carrot. If
3 it is just in the microbiology label you can talk
4 about it to physicians or point it out to
5 physicians but you can't use print promotion or
6 something like that.

7 I think your point is a very good one
8 because initially when you said that I was
9 thinking, boy, my marketing people, they could use
10 that. They could say, you know, well, in vitro it
11 is 100 percent effective and in the clinic it may
12 only be 50 percent effective, and they say we don't
13 want the clinical data in the label. But I think
14 there are ways to control that.

15 DR. GESSER: I agree with everything that
16 has been said and I think the issue is really what
17 you are hoping to accomplish with that information
18 and how you want to manage it. If there is value
19 in having that information in a preliminary state,
20 then you want to manage how that information is
21 going to be disseminated and I think that is the
22 responsibility that the sponsor and regulatory
23 agencies work together on. Even though we sit at
24 this table together, I think competition is a large
25 component of what we do as well.

1 DR. EDWARDS: I would like to make a
2 summary statement for the moment and then ask the
3 IDSA people to comment. Dr. Goldberger very
4 beautifully described what the mechanisms are for
5 incentive development that exist currently. I will
6 take the prerogative to say that much of what we
7 are talking about this morning and will continue to
8 talk about is a way to leverage those to the
9 absolute maximum.

10 However, I believe they are failing for
11 the most part, those that exist at the present
12 time, as we are each day hearing of a new sort of
13 withdrawal from activity in this area. Actually,
14 today is where we are at a point where we have
15 heard rumors, although nothing published, of
16 another major pharmaceutical company leaving
17 anti-infectives, and there was a very interesting
18 address in "The Washington Post" yesterday about
19 the critical nature of this issue that touches on
20 anti-infectives as well.

21 It seems to me that the discussion that we
22 are having now really is more focused towards a
23 more sort of global approach to the incentive which
24 involves legislation changes. Before actually
25 asking the IDSA folks about this, I would like to

1 ask both David and Frank what would be the most
2 powerful incentive, or if they can in some way rank
3 order for us some incentives at this moment that
4 would really make a difference and stop what we
5 perceive as a very dangerous trend that is
6 occurring at the present time. David, could you
7 comment on that?

8 DR. COCHETTO: I am just huddling with my
9 two colleagues here, to the right. We have a
10 consensus of three companies anyway, and I suspect
11 it would be a broader consensus that probably at
12 the top of that list would be so-called wild card
13 exclusivity which, obviously, would require
14 legislation.

15 Beyond that, Roger's group and the group
16 that I am part of work in the HIV area as well. My
17 own perspective is that, as Frank mentioned, the
18 idea of funded consortium has certainly been
19 leveraged to the advantage of the HIV community. I
20 can't speak from personal experience about the
21 oncology area but certainly in the HIV area I would
22 support that proposal.

23 I actually, personally, do not dismiss the
24 things that are within the reach of this group. I
25 do think talking further about the regulatory

1 approaches that Dr. Goldberger summarized does have
2 merit. I don't think we have fully explored the
3 limits of what could be achieved through those. To
4 go back to some of his remarks, I think our
5 experience has been that those programs are really
6 quite flexible and, depending on the sponsor and
7 the Division's creativity, I think there is more
8 that could be achieved through those existing
9 programs. I think a guidance could build further
10 on that. I will stop there.

11 DR. EDWARDS: Frank?

12 DR. TALLY: The wild card exclusivity for
13 a biotech company with one or two products would
14 not be a major advantage for a biotech company, but
15 I would say an exclusivity like that--you could
16 apply it to that one drug if you could get it for
17 that one drug. So, I put that at the top also.
18 For biotech companies, and it sounds like we are
19 going to be joined by more companies coming out of
20 PhRMA--

21 DR. COCHETTO: Sorry, Frank, before you
22 leave wild card exclusivity, one of the ideas
23 floating around is that if you developed a product
24 in this area you would obtain the wild card. So,
25 part of your licensure agreement, if you were

1 partnering with another company for your product
2 distribution, would be that you could trade that to
3 another organization.

4 [Laughter]

5 DR. TALLY: That would be an incredible
6 advantage for a biotech company. I wasn't even
7 thinking along those lines. I would be. But for
8 biotech companies it is the need to raise
9 inexpensive money. So, I think the transfer of tax
10 credits and the government guaranteed loans may be
11 the area where you can raise funds to carry out and
12 be able to supplement the funds that you have.

13 We have just borrowed six million dollars
14 from a bank and we have to leave three million in
15 escrow, believe it or not, because we are a high
16 risk company. If you had a Fannie Mae or Freddie
17 Mac loan you would have the whole six million.

18 DR. GOLDBERGER: Can we write you a letter
19 of recommendation?

20 [Laughter]

21 DR. TALLY: So, that is one of the
22 problems with high risk companies but I think there
23 are ways to build those in. But I think everything
24 we have been talking about today goes right along
25 with all the incentives that we have with

1 streamlining the development by this dialogue we
2 are having over these two days.

3 DR. EDWARDS: Realizing how difficult a
4 question this is for the IDSA current president and
5 current past president, would you reflect on the
6 incentive issues because really we have talked
7 about all of them and they are going to require
8 some sort of legislative activity?

9 DR. SCHELD: Well, I am not surprised that
10 wild card exclusivity is appealing. I certainly
11 would feel the same way if I was in the shoes of
12 the individuals around you, Jack. I don't know,
13 and I doubt that anybody over here, except perhaps
14 George, knows enough about all of the regulatory
15 provisions that we have gone over this afternoon to
16 know how you would choose among all of them and
17 prioritize them, but it seemed to me from the
18 things that Frank brought up that the funding
19 consortium, as we watch how ACTG works and others,
20 as well as the Fannie Mae, Freddie Mac paradigm,
21 have a lot of appeal. I think if they need the
22 help and the backing of the ID community to try and
23 put some of those things through, we would like to
24 talk about it.

25 DR. GILBERT: Just to amplify, I agree

1 with what was just said and we have a public policy
2 committee of the IDSA and we have an antimicrobial
3 use committee. Advocacy is one of our prime
4 strategic objectives. We feel that the impending
5 shortage of crucial drugs is terribly important.
6 That is why we are here. So, I think we just need
7 to be educated in this prioritization. That is
8 key. I mean, if we are going to help advocate if
9 this comes to legislation, we need to have the
10 colleagues who are members of IDSA but also work in
11 the pharmaceutical industry help us with that
12 advocacy.

13 DR. EDWARDS: I would just make a comment
14 from the perspective of the public policy
15 committee. I really think that we need to begin
16 thinking about the issues regarding exclusivity as
17 attainable goals in terms of changing the
18 legislation. This meeting is very helpful to us in
19 order to develop strategies to carry that notion
20 forward, that is ultimately changing legislation,
21 and we need every piece of background we can get
22 because attaining those goals will not be easy.
23 There is absolutely no question about that.

24 DR. GILBERT: Jack, I am sure you agree
25 that we ought to maximize everything that Dr.

1 Goldberger outlined because the legislative process
2 is going to take a while, even if one is going to
3 be successful.

4 DR. EDWARDS: Absolutely. Obviously,
5 there is a lot of room for maximization within that
6 area. David, I was very happy to hear you comment
7 positively regarding the room we still have
8 available in the structure that does exist at the
9 present time. Roger, you were going to make a
10 comment?

11 DR. ECHOLS: When FDAMA went through the
12 legislature and pediatric exclusivity became law, I
13 can't think of anything that has had a greater
14 impact on big PhRMA at least in terms of orienting
15 people to do specific tasks. It was just
16 incredibly powerful. It was as close to a
17 no-brainer, no need for discussion decision-making
18 process that I have ever seen. Again, I am just
19 not sure that IDSA or even FDA is aware of how
20 impactful that was.

21 It is a dangerous thing too because I
22 think once the issue of patent exclusivity is out
23 in the media there are also those who want to take
24 shots at that and don't necessarily understand all
25 the rhyme or reason. Even if IDSA and FDA

1 supported it, I am not sure how viable it would be
2 in the legislation but I just want to make sure
3 people know how powerful a tool that was to really
4 make things happen.

5 DR. EDWARDS: Yes, Dave?

6 DR. GILBERT: Mike and I are sharing our
7 angst, which is mostly out of ignorance. I guess I
8 don't understand why we are pushing or why a lot of
9 folks are attracted--you are not pushing but why
10 you are attracted to the wild card exclusivity.
11 You are saying the pediatric exclusivity was so
12 successful so why not exclusivity for a new drug
13 active against one of the resistant organisms on
14 the hit list? It just seems like the political
15 flack is going to be unbelievable. If I am
16 swapping exclusivity, you know, for a hypertension
17 drug versus an antimicrobial--

18 DR. ECHOLS: First of all, the pediatric
19 exclusivity was sort of tacked on the big money
20 makers. So, the drugs that we are talking about
21 now for niche needs are not going to be big money
22 makers in and of themselves, otherwise we wouldn't
23 need incentives. The incentive for pediatric
24 exclusivity was to do clinical trials and provide
25 PK data in children where there was really no

1 return on investment necessary. There was a drug
2 that got pediatric exclusivity I think for
3 cholesterol lowering. I mean, that is not a big
4 market in kids. But the incentive to do that was
5 without a thought because the drug already was a
6 block buster.

7 But we are not talking about block
8 busters. But I could foresee, you know, to
9 developing a drug for tuberculosis which, to my
10 knowledge, no one in big PhRMA is really looking at
11 actively, but if there was a wild card attached to
12 developing a new drug for tuberculosis and you got
13 six-month exclusivity on the drug of your choice,
14 that would be a pretty big incentive.

15 DR. GESSER: It just allows for a
16 redistribution of the focus of resources within a
17 company. As I said, antibiotics are at a
18 disadvantage relative to other products and that
19 is why it was such a simple response, because the
20 value of those other products is greater.

21 DR. DERESINSKI: My guess is that what
22 David is concerned about is the potential PR
23 aspect, and I think that the answer is that this
24 requires an educational program for the public to
25 understand that we have a looming disaster and that

1 this is a means of dealing with it.

2 DR. ECHOLS: If the impetus for this came
3 from the IDSA and the FDA with really no lobbying
4 on the part of industry, that could present a very
5 different picture than if industry was trying to
6 lobby for it, and I am not aware that anybody is.
7 The first time I thought of wild card was an idea
8 that Mark Goldberger gave me many years ago when we
9 were talking about TB in a public forum.

10 DR. SCHELD: I don't think we should lose
11 sight also of the possibility for funded consortia.
12 That has a lot of appeal because fundamentally the
13 members of the IDSA, many of them, work in groups
14 of that nature and try to get new scientific
15 information out there while, at the same time,
16 addressing an important public health problem. I
17 would be willing to say that antimicrobial
18 resistance is in the same order of magnitude as HIV
19 and some of the other diseases we have talked about
20 today. We already brought up TB and we might as
21 well think about antimalarials. There may be a way
22 of addressing it that way through the membership of
23 the IDSA which has considerable expertise in
24 approaching NIH and other funding agencies about
25 this type of issue.

1 DR. EDWARDS: We would have the same sort
2 of potential with not only the funded consortium
3 but also the SBIRs and the CRADAS perhaps in making
4 those more user friendly to industry.

5 DR. SCHELD: I am very familiar actually
6 personally with SBIR and STTR. I think probably
7 most small biotechs have been very aggressive in
8 approaching that mechanism for funding. I don't
9 know much about CRADAS and I would like to know
10 more, and maybe this will be a side bar
11 conversation I will have with Frank.

12 DR. EDWARDS: Well, this was a very
13 interesting discussion with some great ideas.
14 There is I think a bit of a call for a challenge to
15 some of us interested in this, particularly from
16 the IDSA standpoint. Unfortunately, we are going
17 to have to leave this part of the discussion at
18 this time but I hope that outside of the meeting we
19 will have a chance to pursue this much further. I
20 am now going to turn to the issues regarding
21 non-inferiority margins in clinical trials. We are
22 a little bit behind time but I think we are going
23 to catch up. We need to just start right off with
24 George Talbot, who will begin this very interesting
25 discussion.

1 Issues Regarding Non-Inferiority Margins in
2 Clinical Trials - IDSA Presentation

3 DR. TALBOT: Thank you, Mr. Chairman and
4 Dr. Goldberger. Thank you for the opportunity to
5 speak today, and Dr. Gilbert who is now absent,
6 thank you as well.

7 [Slide]

8 I agree with the chairman that the last
9 session was extremely interesting but I have to say
10 that even though I am an ex-clinician, my remaining
11 clinical acumen detected a slight waning in the
12 electric current throughout the room here, the
13 onset of a certain lassitude, at least in some
14 members of the audience. I wish Dr. Wenzel were
15 here because he could have perhaps taught us
16 something about the attributable lassitude in the
17 room. I was trying to think this through and some
18 of it certainly could be postprandial letdown and
19 that is probably a fairly sizeable amount of the
20 lassitude, but some of it probably is the thought
21 why in the world do we have to talk about delta
22 again. I think our chairman indicated that maybe
23 we should talk about delta a little bit more
24 quickly than we were planning to, to begin with.
25 With that in mind, I will try to speed things

1 along.

2 [Slide]

3 I will start with describing for you the
4 approach I will take in this discussion. First of
5 all I am going to identify some questions on
6 delta-related issues which are relevant to
7 clinicians. By way of a Q&A type session, I am
8 going to provide some answers and possible
9 solutions to these questions including, in
10 particular, information that clinicians would find
11 useful with regard to this issue, and also how this
12 information could be made available.

13 I want to warn you right off that what I
14 am not going to tell you is what the delta should
15 be for each indication. So, don't get too excited
16 just yet because I think we will have a chance to
17 talk about it. The other thing you may be
18 wondering is why in the world I am talking about
19 this, of what interest it is to clinicians. I
20 happen to be able to blame Dr. Powers for this
21 because when I spoke with him about what I should
22 address in my topics today he said, well, tell us
23 what clinicians think about these things and I did,
24 in fact, make an attempt to validate some of these
25 points with my current clinician colleagues at

1 IDSA.

2 [Slide]

3 By way of background, since this is the
4 first discussion of this session I thought I should
5 mention a little bit about delta-1 and delta-2, and
6 I would like to thank Dr. Powers again for
7 clarifying some of these concepts in an excellent
8 presentation at ICAAC. Others, including Drs.
9 Temple and Ellenberg, have written about this
10 eloquently.

11 A delta-1 is the estimate of the advantage
12 of a standard therapy over placebo. Delta-2 is
13 generally what we have been concerned about in the
14 February meetings as well a little bit today, and
15 that is the maximum acceptable loss of efficacy of
16 a new therapy over the standard therapy. So, when
17 we are talking about the delta we picked for HAP we
18 are talking about what is the maximum acceptable
19 loss of efficacy for the new drug over the
20 standard.

21 For any given indication delta-1 is
22 usually determined from historical data. I say
23 usually because in anti-infectives there is not a
24 placebo arm. Delta-2, which has been a somewhat
25 more contentious area, is ideally set only by

1 clinical judgment. That is, what amount would we
2 be willing to give up in terms of efficacy but
3 there are substantial pragmatic considerations,
4 specifically sample size.

5 [Slide]

6 Here is the first of my clinician queries.
7 I sort of toned this down because what I really
8 wanted to say is, you know, "what the hell are
9 delta-1 and delta-2?" I thought about "what the
10 heck are delta-1 and delta-2" but I trimmed it down
11 to this for public consumption. I think it really
12 is an important question. I mean clinicians don't
13 necessarily understand these comments and they may
14 think why do I even need to know about them? Why
15 are these relevant? After all, FDA is approving a
16 drug, therefore, it must be good enough for me to
17 use for my patients.

18 I think the answers to these things are
19 several-fold. First of all, informed clinicians,
20 those here today and others, are aware that these
21 two concepts dramatically affect both the
22 availability and the risk-benefit of new
23 antimicrobials. These are key concepts driving
24 what drug companies study, how they study them and
25 what regulators can or cannot give us. So, I would

1 submit to you that further user education about
2 deltas is important. The goal there is to
3 disseminate knowledge to these users to improve
4 treatment decisions.

5 With regard to delta-1, it is a question
6 of, well, how does this new therapy stack up
7 relative to placebo? For delta-2 it is if I use
8 this new drug, how much loss am I potentially
9 having here over what I would have used otherwise.

10 [Slide]

11 Query two, in what infections is the
12 efficacy of antimicrobial therapy no better than
13 that of a placebo? Would that be true for ABECB?
14 For acute bacterial sinusitis? I would like to
15 know because I have been prescribing these drugs
16 based on the premise that they have activity and
17 they help. If they don't, I would like to know
18 that.

19 So, I think that clinicians, if they
20 thought about it, would want information from
21 placebo-controlled studies of self-resolving
22 infections. The goals here would be to better
23 define delta-1 for a given indication such as those
24 mentioned, and also specifically to improve patient
25 care by defining when antimicrobials confer no

1 benefit.

2 [Slide]

3 Of course, in taking such an approach one
4 has to mention that placebo-controlled studies of
5 antimicrobial therapy must include several aspects,
6 first of all and foremost, patient safeguards so
7 that in any of these indications that I mentioned
8 certainly there would have to be no risk of serious
9 sequelae if antimicrobial treatment was delayed or
10 omitted.

11 Another important issue with respect to
12 definition of delta-1 is what are your clinical
13 endpoints going to be. I would submit that time to
14 symptom resolution is a valid endpoint, as much as
15 cure is. This is something that I discussed with
16 John prior to today's meeting.

17 Finally, any studies to elucidate delta-1
18 or the advantage of a new therapy over placebo have
19 to address relevant patient and disease
20 subpopulations, really what clinicians are going to
21 see in practice because if the studies don't look
22 at those patient populations the results are going
23 to be meaningless because the clinician is always
24 going to be tempted to say, yes, I know about that
25 study but my judgment and my experience for my

1 patient means I should give the antibiotic anyway.

2 [Slide]

3 Another important point, which Lou Rice
4 actually made me think about, is reflected here. I
5 would like to be confident that a new antibiotic
6 for severe infections isn't meaningfully less
7 effective than what I already prescribe. I am sort
8 of assuming that FDA is taking care of that but how
9 is "meaningfully" defined for approved drugs?
10 Where would I find that information and how would I
11 know?

12 That raises the question of whether the
13 label should communicate to some extent the level
14 of statistical confidence in the results of the
15 studies leading to FDA approval. I looked through
16 a few of the recent labels and I think there is one
17 antifungal where there was a point estimate and
18 confidence interval given, but for the
19 antibacterials there were point estimates given of
20 response rates but no confidence intervals and
21 nothing about how the trial was sized and the type
22 of benefit that could be assured. So, that is a
23 question that I would pose to the group.

24 [Slide]

25 Ah, the delta! A clinician might ask

1 should the new antimicrobial always have to pass
2 the delta hurdle to garner an FDA approval. It is
3 my opinion, and not the IDSA membership's opinion
4 necessarily but it is my opinion that this hurdle
5 shouldn't necessarily have to be surmounted for the
6 situation of the streamlined development program
7 for an acute unmet medical need, for example,
8 specific multi-drug resistant pathogens. I think
9 the analogy there also might be the anthrax example
10 mentioned previously. There may be some situations
11 where the medical need is so great that you don't
12 require that a formal hurdle be achieved.

13 On the other hand, I think most clinicians
14 would like to have a fair amount of certainty that
15 when a drug undergoes a traditional development
16 program with multiple indications an appropriate
17 delta is applied, or a process is applied, and that
18 that should be feasible given that the goal will be
19 to accrue a robust efficacy and safety database.

20 [Slide]

21 That is all fine you say but, as a
22 clinician, what I see is that there is a severe
23 drought of information on utility of new
24 antimicrobials in many of the most clinically
25 concerning indications. It is not clear to me, as

1 a clinician, why this is. I mean, it is clear that
2 there is a medical need; why are there no data?

3 I think partly this is due to an indirect
4 relationship between infection-related morbidity,
5 on the one hand, which is what concerns clinicians,
6 and the feasibility of subject recruitment into
7 clinical trials.

8 [Slide]

9 I have tried in a totally non-scientific
10 way to illustrate this on this slide. If you look
11 across the top, I have illustrated recruitment in
12 the non-quantitative terms of easy, moderate and
13 difficult. On the left side I have mentioned
14 patient morbidity as high, medium and low. You
15 could try to attach mortality rates on the left
16 side and say that low is less than 5 percent,
17 medium is 6-15 and high is above that. I am sure
18 you could also apply some metrics to the top row.

19 I sat down and I tried to fill this in
20 and, if you look over here, I really couldn't come
21 up with any indications which are easy to recruit
22 and inexpensive to recruit but had a high
23 morbidity. Similarly, there aren't too many that
24 are difficult to recruit but have a low morbidity.
25 Most of them fall into this axis right here,

1 ranging from something like uncomplicated skin and
2 skin structure infection, on the lower left side,
3 up to these problem indications, on the right.

4 [Slide]

5 So, what are the problem indications? I
6 think, and my colleagues here today agree, these
7 are really among the most clinically concerning
8 infections and, yet, here exactly is where there is
9 difficult recruitment but with the problem of high
10 morbidity and mortality--endocarditis, meningitis,
11 osteo, some types of invasive fungal infection,
12 resistant pathogens, HAP to some extent, and a
13 number of the pediatric problematic infectious
14 diseases. So, here are indications where data are
15 needed but it is not coming.

16 [Slide]

17 As a clinician, you might take a pragmatic
18 approach that for these problem infections isn't it
19 better to have some clinically meaningful
20 information rather than none, and have it sooner
21 rather than later. I mean, give me something that
22 has been vetted by an independent scientific body
23 like the FDA, and let me know about it in that
24 context so I can have that information to help me
25 guide treatment decisions when no other information

1 is available.

2 [Slide]

3 So, the question that arises is why not
4 provide information on just bacteriologic endpoints
5 for these problem infections? This data is
6 useful--clearance of bacteremia, for example;
7 clearance of bugs from CSF. There are some
8 limitations but that would be useful. I think that
9 is true but, indeed, the limitations should be
10 highlighted. Specifically, as we mentioned, if you
11 look at just microbiologic endpoints there will be
12 limitations on what you can deduce from
13 corresponding clinical endpoints. These may be
14 insufficient for FDA to conclude effectiveness
15 using what I understand to be the regulatory
16 definitions thereof. This is because there will be
17 low power to detect drug-disease and drug-patient
18 interactions.

19 I want to highlight here one key
20 assumption in talking about this, that is that
21 bacterial eradication at this point is not a
22 validated surrogate endpoint. I think we will hear
23 more about that tomorrow in meningitis. Clearly,
24 with clearance of bacteremia there are some
25 questions about that. Clinicians think it is a

1 pretty good endpoint but maybe regulators would
2 think that is not validated.

3 [Slide]

4 If that is true and we have this
5 construct, how can FDA and industry increase the
6 availability of clinically relevant information on
7 those five or six problem indications that I
8 described a moment ago? Let me take the example of
9 acute bacterial meningitis. That has to be one of
10 the most problematic indications for a clinician,
11 and I think it is one where there is truly a dearth
12 of relevant information for new drugs. What if we
13 chose, instead of looking at clinical outcome which
14 would require hundreds of patients with a small
15 delta, to look at the effect of a new antimicrobial
16 on CSF bacterial load?

17 [Slide]

18 The suggestion that we would come up with
19 is to do just that, look at that endpoint and add
20 the results of studies on this endpoint to the
21 clinical study section of the label. Now,
22 certainly maybe it should go somewhere else. Maybe
23 it should go in the indications and usage, but I
24 picked the clinical studies section for reasons we
25 could go into if you want. Certainly, those data

1 should be supplemented with available data on
2 clinical endpoints in the same subjects but the
3 context and limitations of those clinical data
4 should be explicitly stated. But the data on
5 bacteriologic endpoints would be in the label and
6 would be there for the customers to use.

7 I think there is an analogy, a precedent,
8 and that is the in vitro pathogen listing. The
9 relationship between susceptibility is determined
10 by MIC90 and the potential utility of an antibiotic
11 is accepted; it is put in the label. That is a
12 surrogate endpoint, if you will, in a way. What is
13 done though in the label is that it is mentioned
14 that the clinical significance of these findings is
15 unknown.

16 So, I would think that for an endpoint
17 such as bacterial kill in acute meningitis you
18 could put the data in but indicate the limitations
19 thereof, and mention that the clinical significance
20 is unknown because the delta-driven trials to reach
21 a firm conclusion could not or have not been done.

22 [Slide]

23 This information should be added to the
24 clinical studies section only if the results of
25 those studies are consistent with what you know

1 about the drug otherwise, non-human and clinical
2 PK/PD data. Certainly the effectiveness of the
3 compound should have been demonstrated in other
4 indications, as we talked about earlier. And,
5 certainly there should be non-clinical or clinical
6 data indicating potential safety concern.

7 [Slide]

8 Why would this lead to more information
9 becoming available to clinicians? Why would this
10 approach help? Well, my thesis, which my
11 colleagues in industry will have to comment on, is
12 that the ability to place even this amount of
13 information in the label for these problematic
14 indications would encourage the conduct of studies
15 in these indications. There would be something in
16 the label that was scientifically driven, that had
17 been subjected to independent review, that could be
18 discussed by reps, but the limitations of which
19 were clearly defined.

20 [Slide]

21 If we try to bring this together into
22 thinking about the delta hurdle, I asked the
23 question when should the delta requirement be
24 applied. I have mentioned already that I feel that
25 there are some situations where it should not be

1 required, the streamline development program. For
2 a traditional development program, if you have
3 these non-problem indications, the readily studied
4 ones, I would suggest that the delta requirement
5 should be applied, and just what the delta is I
6 know that John and Christy will get to in a few
7 minutes.

8 For difficult to study indications, if you
9 wanted formal approval of the whole indication
10 then, yes, you have to come up with a delta that is
11 meaningful. If you can use a validated surrogate
12 endpoint, great; use that. If you can't and you
13 have to use clinical endpoints, all right. The
14 difference would be to provide the option of adding
15 just the bacteriologic endpoint data to the
16 clinical studies section, with appropriate caveats
17 and, therefore, hopefully you would get studies in
18 patients with endocarditis, in patients with
19 meningitis and so forth.

20 [Slide]

21 In summary, clinicians need and want a
22 variety of things. First is education on delta
23 issues, as I mentioned; information in selected
24 indications from placebo-controlled trials. Most
25 acutely, they want resolution of the information

1 drought for the most clinically concerning
2 indications. This may mean getting some
3 information rather than none.

4 Points to consider are that data could be
5 included in the label on bacteriologic endpoints,
6 and the label could also include some information
7 on the confidence of efficacy results for approved
8 indications in a way that would be clinically
9 meaningful. Finally, I think that it would be
10 desirable to have some studies, or at least further
11 discussion about when and if bacteriologic
12 endpoints are valid surrogate markers.

13 [Slide]

14 With that, I would like to thank the
15 following people and, hopefully, you will find this
16 a useful contribution to the discussion. Thank
17 you.

18 DR. EDWARDS: Thank you very much, George.
19 Christy Chuang-Stein will now speak from PhRMA.

20 PhRMA Presentation

21 DR. CHUANG-STEIN: Right, I am not here
22 representing IDSA as the slide indicated.

23 DR. EDWARDS: You are welcome to join us.

24 [Laughter]

25 [Slide]

1 DR. CHUANG-STEIN: I thought about
2 dazzling everyone with very elaborate slides but
3 then I thought I really need your attention during
4 the next 15 minutes so I thought I do not need any
5 distraction. Therefore, that is why we are using
6 black and white slides here.

7 The antibiotic working group of PhRMA is
8 grateful to have this opportunity to share with you
9 implications and challenges of the non-inferiority
10 margins. We would also like to share with you some
11 thoughts the group has in our joint effort to
12 search for relevant margins.

13 [Slide]

14 Consider a clinical trial where a new
15 antibiotic is compared to an approved product. The
16 non-inferiority margin has a dual role. First,
17 through the choice of the use of the margin, we
18 would like to show that the new antibiotic has
19 efficacy better than the placebo, should a placebo
20 be included in a trial. Next, we would like to
21 demonstrate that a new antibiotic has efficacy
22 within a range of the approved product, with the
23 range determined primarily based on clinical
24 considerations.

25 [Slide]

1 This non-inferiority margin has a profound
2 impact on the sample size required for a clinical
3 trial. On the next three slides I will show you
4 the impact of the margin.

5 On this slide we assume that we would like
6 to have a 90 percent probability to declare
7 non-inferiority if the new antibiotic has an
8 identical success rate as the comparator. For
9 illustration purposes, I let the success rate range
10 all the way from 50 percent to 90 percent. On this
11 graph the yellow bar numbers represent the
12 situation where we have five percent as the
13 non-inferiority margin. The number here
14 represents the number of subjects required for each
15 treatment group. The green bar numbers here
16 represent a situation where the margin is set at 15
17 percent.

18 Let's look at a situation where the common
19 identical success rate for the two groups is 80
20 percent. We will need about 1400 subjects per
21 group if the margin is set at five percent. On the
22 other hand, we will need about 150 subjects per
23 group if the margin is set at 15 percent. You can
24 tell that the sample size obviously varies
25 dramatically as a function of this margin. Also,

1 as the success rate approaches 50 percent the
2 sample size required goes up. This is because of
3 the variability associated with the binary response
4 getting a little higher as we approach this 50
5 percent mark.

6 I would like also to indicate here this
7 sample size. This refers to the number of clinical
8 evaluable subjects per treatment group if clinical
9 outcome is the primary endpoint. So, for some of
10 the situations, especially for five percent, there
11 is no hope of conducting such a large study.

12 The choice of the power is very much a
13 sponsor's decision. There is no regulatory
14 requirement on whether the power should be 90
15 percent or 80 percent. But from a sponsor's
16 perspective, we would like to minimize the
17 probability of failing to accept non-inferiority if
18 the new antibiotic actually has an identical
19 success rate as the product that is on the market.
20 On the other hand, if the sponsor is willing to
21 accept a 20 percent risk of erroneously rejecting
22 non-inferiority when the new antibiotic has the
23 identical efficacy as the comparator, we can look
24 at a sample size requirement when the power is
25 dropped to 80 percent.

1 [Slide]

2 Notice that it compares to the previous
3 slide. For the 80 percent success rate situation
4 the sample size is getting smaller, roughly about
5 75 percent of what we had before. But realize this
6 25 percent saving in sample size is obtained at
7 doubling the risk for the pharmaceutical sponsor.
8 Therefore, as a pharmaceutical sponsor we need to
9 kind of struggle to maintain the balance between
10 sample size and power here. Because of our
11 emphasis, our desire to minimize the risk of
12 erroneously rejecting non-inferiority, 90 percent
13 is not an uncommon choice for power in the
14 pharmaceutical industry.

15 One question or one comment was raised
16 during the February advisory committee meeting.
17 That is, when a new antibiotic is being developed
18 sometimes the sponsor would hope that a new
19 antibiotic actually is slightly better than the
20 comparator. If that is the case, won't we need a
21 smaller sample size to conduct a study? That is,
22 indeed, the case. If we know that a new antibiotic
23 is slightly better than the comparator then, yes,
24 we have more room to get to a lower bound of the
25 confidence interval.

1 On the other hand, there are also a lot of
2 situations where a new antibiotic is developed
3 because of an anticipated better safety profile or
4 a more convenient dosing schedule. If that is the
5 case, you know, clinicians or the marketplace are
6 willing to trade a little of the efficacy for a
7 better safety profile, better tolerability or more
8 convenient dosing and administration. If that is
9 the case, what sample size will be required if we
10 know beforehand that a new antibiotic is just
11 slightly less efficacious than the comparator?

12 [Slide]

13 On this slide I show some of the sample
14 sizes we will need. This is the case where we
15 anticipate that the new treatment, the new
16 antibiotic is five percent less effective compared
17 to the control. In this particular case,
18 obviously, we wouldn't set the margin at five
19 percent because we are already at a five percent
20 mark. The question is if I set the margin to be 10
21 or 15 or 20 percent how large a sample size will I
22 need? Again, the sample size here reflects the
23 sample size per group.

24 I look at the situation where the control
25 success rate is around 80 percent. So, in this

1 particular case I would have the comparator success
2 rate to be around 80 percent. The new antibiotic
3 is expected or is anticipated to have a success
4 rate around 75 percent. Here we are talking about
5 true success rate. Nobody knows what a true
6 success rate really is, but when we design the
7 study we do all sorts of hypothetical situations
8 trying to maximize our chance for success. So, if
9 I have a scenario where the comparator has a
10 success rate around 80 percent while the new
11 antibiotic is expected to have a success rate
12 around 75 percent I will need a very large sample
13 size, about 1500 per group for a 10 percent margin.
14 I need about 370 per group for a 15 percent margin.

15 For the 10 percent margin this number is
16 440 percent that we need should the new antibiotic
17 have the identical success rate as the comparator.
18 For the 15 percent margin, this blue bar, the
19 number is about 240 percent of the anticipated
20 sample size before. So, this is another situation
21 where, if our new antibiotic is expected to be just
22 five percent less than the comparator, it is almost
23 impossible to conduct this study or finish this
24 study in a timely fashion. So, that is something
25 for all of us to chew on, the various scenarios

1 that the pharmaceutical sponsor needs to face when
2 we are looking at sample size.

3 [Slide]

4 Obviously, the choice of the
5 non-inferiority margin is a very difficult one,
6 otherwise we wouldn't be here. As we mentioned
7 earlier, the margin has a dual role because we are
8 comparing the new antibiotic against a comparator,
9 hoping that if we conclude that the new antibiotic
10 is within a range of the comparator we will be able
11 to make the leap of faith that the new antibiotic
12 is also better than placebo. This requires
13 critically the fact that the comparator is better
14 than placebo by at least that amount, that range.
15 Unfortunately, we really do not have much
16 comparative data against placebo. Whatever we have
17 came from the days of a different era. So, we are
18 in this critical information drought in terms of
19 comparative data of the current antibiotics over
20 placebo.

21 The second challenge we face, as mentioned
22 earlier, is that the margin selection really needs
23 to address the seriousness of the infection as well
24 as the feasibility of conducting the trial. This
25 delta, non-inferiority margin here, is the minimum

1 of the delta-1 and delta-2 that George talked
2 about. It is really a composite of those two
3 considerations mentioned earlier.

4 However, we do have opportunities that we
5 cannot ignore. The very fact that we have this
6 forum where the three sides can sit down and
7 address those issues will help us move a step
8 closer to finalizing the draft guidance, including
9 the recommendation on maybe a range of the delta or
10 non-inferiority margin. In selecting or
11 recommending that range of delta, I would like to
12 reiterate the fact that an antibiotic trial has a
13 special feature in the sense that we typically look
14 at multiple endpoints of similar importance in one
15 trial. More than that, we typically have more than
16 one trial to support an indication, and even more
17 than that, we typically study multiple indications
18 for a particular antibiotic. In essence, we have a
19 lot of information packaged together to submit the
20 file to the regulatory agency. We cannot ignore
21 the fact that the information is not coming just
22 from one trial.

23 [Slide]

24 To give you one very simple illustration,
25 we have some numbers here and I will go through the

1 numbers. Here is a situation where we assume the
2 comparator has a cure rate of about 80 percent. We
3 anticipate that the new antibiotic also has a cure
4 rate or success rate of around 80 percent. We
5 would like to have 90 percent power. We set the
6 margin at 15 percent. Based on the sample size
7 chart I showed earlier, we need roughly 150
8 evaluable subjects per treatment group.

9 On this line there are two sets of
10 numbers. Underneath the line what I have is the
11 difference in the success rate between the
12 comparator and the new antibiotic. So, it is the
13 comparator minus the new antibiotic. The next
14 value here indicates that the new antibiotic is
15 less efficacious than the comparator, while the
16 positive number here indicates that the new
17 antibiotic has better efficacy than the comparator.
18 On top, here, is the probability that we will
19 conclude non-inferiority when the difference is
20 given by the number below. By design we will have
21 a 90 percent chance to declare non-inferiority if
22 the new antibiotic has identical efficacy as the
23 control. That is the design specification. If the
24 new antibiotic is five percent less in terms of the
25 success rate than the comparator, the chance of

1 declaring non-inferiority is 58 percent.

2 Going further down, if the new antibiotic
3 is 10 percent less efficacious than the comparator,
4 that probability drops to 18 percent. Again, by
5 design when we get down to minus 15 percent, here,
6 we have about a 2.5 percent chance to declare
7 non-inferiority. If I have an 18 percent chance to
8 declare non-inferiority when the difference is 10
9 percent and if I do two studies, the chance that
10 both studies will allow me to declare
11 non-inferiority when the difference is, indeed, 10
12 percent is no more than 3.2 percent.

13 So, here we are combining information from
14 two studies. I use the "less than" sign here
15 because a lot of times the conclusion is not based
16 on one single endpoint. We look at a clinically
17 evaluable population. We look at the
18 intent-to-treat population; we look at a modified
19 intent-to-treat population; we look at clinical
20 outcome; we look at micro-outcome; we look at
21 multiple endpoints; we look at multiple analysis
22 population. We want all different kind of analyses
23 to give us a consistent picture before we accept a
24 study as a positive study. So, that is why this
25 "less than" sign is used here.

1 [Slide]

2 What are some other thoughts the group has
3 in terms of moving forward? For the design aspect,
4 we were wondering if we are facing serious
5 infections with high mortality and if there is no
6 approved antibiotic for that particular disease
7 whether we can think about conducting another
8 comparative trial, and what we would use as a
9 criterion for when is the lower bound of the
10 confidence interval for that success rate to be
11 exceeding a particular prespecified clinically
12 relevant threshold. Of course, this threshold will
13 have to be decided upon beforehand based on how
14 much we know about the mortality or the failure
15 rate for this particular infection. For this we
16 would basically borrow the paradigm from the
17 oncology area where some of the accelerated
18 approval is based on Phase II non-comparative study
19 results.

20 The second bullet is related to our
21 current need to conduct global drug development.
22 We do know that in different geographic areas
23 different comparators are being recommended and if
24 we are truly conducting a global development
25 program with different controls being used for

1 different regions, whether we can design a study
2 where we are comparing the new drug against
3 standard of care, basically we will be pooling data
4 from different regions to come up with a new drug
5 against a standard of care comparison.

6 The third bullet has been a long debated
7 and heatedly debated issue, the one-sided against
8 two-sided paradigm. The ICH E-9 statistical
9 principle for clinical trials specifically said
10 that a one-sided confidence interval or one-tail
11 testing is consistent with the non-inferiority
12 paradigm. We would like to submit this once more
13 to the agency for consideration. We are talking
14 about the possibility of reducing sample size. For
15 the 80 percent success rate, doing one-sided
16 confidence interval can reduce the sample size by
17 20 percent. We do think we have a scientific
18 justification, scientific ground for bullet three
19 that can help us reduce the sample size.

20 Finally, we realize that it is time that
21 we build up our knowledge base regarding the
22 comparative efficacy of our current antibiotics
23 against placebo. How to get that information, how
24 to move forward, I will leave that in the expert
25 hands of our IDSA colleagues. Thank you.

1 DR. EDWARDS: Thank you very much. John,
2 I am going to take the prerogative, if I may, even
3 though we are not scheduled for a break we are in
4 the seventh inning stretch here, and I would like
5 to take about a five-minute break before we have
6 the final presentation and then what is likely to
7 be a very interesting discussion. I will tell you
8 that we are going to finish at five o'clock within
9 confidence intervals that encompass a very few
10 number of minutes. So, if you would please return
11 within five minutes, that would help us stay on
12 time.

13 [Brief recess]

14 DR. EDWARDS: At this time, John Powers,
15 from FDA, will continue on with the last segment of
16 our discussion of the delta issue.

17 FDA Presentation

18 DR. POWERS: I was telling Dr. Schentag,
19 behind me, that I blew it; that I put myself at the
20 end of the day for the last talk. Somehow I messed
21 up here.

22 [Slide]

23 I think Dr. Talbot brought up this issue
24 of what does this all mean to clinicians, and I was
25 dissuaded from titling this talk "delta: it's all

1 Greek to me"--

2 [Laughter]

3 --because some of this stuff is very
4 important and sometimes we just don't realize it.
5 We had a biostatistical conference with PhRMA about
6 two weeks ago and I said to Christy when I tell a
7 clinician this drug has 90 percent effectiveness
8 and this one works 85 percent, they will say,
9 "okay, I believe it." Now, I tell them there were
10 12 patients in each arm and they will say, "no, now
11 I don't believe it." They did that statistical
12 calculation in their head that included things
13 about delta and they didn't even know it. So, the
14 question, again, is one of educating people as to
15 what this means.

16 [Slide]

17 What are two ways of looking at what delta
18 is used for? There are two things. One is after
19 completion of the trial it is helpful to look at
20 the delta to determine is the drug effective or
21 not. There are two ways of looking at this. One
22 is direct determination of how the efficacy of the
23 test drug relates to the control drug within that
24 trial. The second thing is the indirect
25 determination of the benefit of drug over placebo.

1 I thought it was interesting that Dr.
2 Wenzel said he got nervous when we made "leaps of
3 faith" and, yet, we do that every time in a
4 non-inferiority trial. We make a leap of faith
5 that that drug is better than placebo because we
6 have indirectly measured that in that trial. That
7 may be fine for some very serious diseases but then
8 when we look at this in some more detail it may get
9 trickier for some non-severe diseases.

10 What is the delta used for prior to
11 initiation of the trial? That actually answers the
12 question of can the trial be done practically and
13 it is used to set the sample size. Christy talked
14 to you a lot about this issue of sample size. But
15 then the question comes up of what is the
16 appropriate sample size. I guess the real key word
17 there is appropriate. If one would look at, say, a
18 study out here and then one looks at, say,
19 bacteriologic efficacy where one can get cure rates
20 that are up even in the 90 percent range, one can
21 do a trial with very small numbers of patients per
22 arm. But then the question that comes up is does a
23 trial this small allow you to say anything about
24 those drug-disease or drug-patient effects that Dr.
25 Talbot referred to in his talk?

1 On the other hand, a trial with 3000
2 patients per arm, not even considering that you
3 probably need 4000 patients because of the
4 evaluable dropout rate, is not doable. So, can we
5 come to some compromise in between?

6 [Slide]

7 The other issue that we can look at here
8 is that the risks involved in erroneously
9 concluding non-inferiority are different for
10 different diseases. So, the question we are asking
11 here is what is the risk of treatment failure? In
12 severe diseases treatment failure could translate
13 into greater morbidity or mortality for patients.

14 In non-severe, self-resolving diseases one
15 could argue that the risk to the patient isn't as
16 great directly from treatment failure, however,
17 this could lead to inappropriate prescribing of the
18 drug for patients who might not benefit and, in
19 fact, there is a risk for patients there because
20 relative to placebo every drug has increased
21 adverse effects. The other issue here is spread of
22 antimicrobial resistance when one has prescribed a
23 drug for which one may need no antimicrobial at
24 all.

25 [Slide]

1 So, we are really asking two separate but
2 important questions in a drug development program.
3 This goes to the idea of looking at the totality of
4 the data across all the studies that are looked at
5 for an antimicrobial. As Christy pointed out, we
6 have the benefit here in anti-infective treatment
7 that we look at a number of indications. If one
8 study is an anti-cholesterol drug you look
9 basically at one disease. However, with
10 anti-infectives we have the opportunity to look
11 across a spectrum of illness.

12 So, the overall drug development program
13 answers that question of is it an effective
14 antimicrobial but the second, implied question
15 there is, is the drug effective in a specific
16 infectious disease? There, we look at the
17 individual studies in a given disease indication.

18 One of the things when Dr. Goldberger was
19 presenting his information about looking at a
20 clinical development program is that there is the
21 implied fact in there that for each one of those
22 studies the drug actually does what it is supposed
23 to do. The individual studies in a given disease
24 indication may vary depending upon the
25 characteristics of that drug, things like Dr. Craig

1 brought up of whether it penetrates the site of
2 infection, various host factors. As we heard this
3 morning, immunocompromised patients are likely to
4 do less well and, even more importantly, the
5 natural history of the disease.

6 [Slide]

7 So, how did we get to where we are today
8 and talking about this? We talked a lot about
9 sample size in the last few minutes, and the 1991
10 "points to consider" document had this step
11 function approach to selecting delta which was
12 based completely on sample size. It was a
13 recommendation and not a dictum, however, it sort
14 of became such and even underneath that step
15 function in "the points to consider" document it
16 says that for severe diseases one may need to take
17 into consideration other things.

18 So, in February of this year at an
19 advisory committee meeting we agreed that we would
20 look at the delta for each indication separately so
21 that we could take into account those disease
22 specific factors. Since February we have been
23 trying internally to look at the placebo-controlled
24 trials for each disease. What we have tried to do
25 here is to look at all available studies, not just

1 those which showed a benefit of antimicrobials over
2 placebo.

3 One of the things that came up in the
4 PhRMA biostatistics conference two weeks ago was
5 exactly this fact. One needs to look at the range
6 of data for a given disease, not just the positive
7 studies. What we have tried to do then is to get
8 some estimate of what is the range of benefit over
9 placebo in these trials for various diseases.

10 [Slide]

11 We have come to the conclusion that there
12 are really three types of diseases in relation to
13 delta. So, there is no one-size-fits-all. The
14 first kind of disease is one where the magnitude of
15 benefit of drug therapy over placebo is known. We
16 can put a number on it and it is very big. Those
17 would be diseases like acute bacterial meningitis
18 and endocarditis where if one does not receive
19 therapy, the likelihood that one will do well is
20 very low.

21 The second kind of disease is actually in
22 some ways more problematic, and that is where the
23 magnitude of benefit of drug therapy over placebo
24 is unknown and may, in fact, be modest or small.
25 Those are diseases like acute bacterial sinusitis,

1 acute otitis media and acute exacerbations of
2 chronic bronchitis. Some of the issues here may
3 have to do with the way some of these trials are
4 done. For instance, not getting bacteriology in
5 acute otitis media and sinusitis studies makes them
6 very problematic and the bacteriology, even if
7 obtained, in acute exacerbations of chronic
8 bronchitis trials is very difficult to interpret.

9 Finally, there is the third kind of study
10 where the magnitude of the benefit of drug therapy
11 is unknown as far as putting an exact number on it,
12 but may be large enough not to be of concern when
13 picking the delta, at least the delta-1. Dr.
14 Wenzel showed a slide this morning with some data
15 from Ibrahim, in Chest, in 2000, which showed that
16 people who got inappropriate therapy had a
17 mortality rate of 60 percent with hospital-acquired
18 pneumonia whereas with appropriate therapy they had
19 24 percent. So, one would say that is a 40 percent
20 benefit. We have never looked at a study with a 40
21 percent delta, therefore, the question that comes
22 up there is not related to delta-1 but to delta-2
23 and the acceptable loss relative to control.

24 [Slide]

25 When one goes to look at these historical

1 placebo-controlled trials though, there are
2 obviously a number of problems that come up. If we
3 look at a trial that was done a number of years
4 ago, there are differences in medical practice
5 today and adjunctive therapies that we didn't use
6 before. There are differences in the range of
7 organisms and the resistance patterns of those
8 organisms in the placebo-controlled trials from
9 years ago. There are also differences in the
10 enrollment criteria and endpoints compared to
11 current trials. As Dr. Talbot pointed out, we may
12 want to look at things like time to resolution of
13 symptom endpoints in self-resolving disease but
14 that is nearly impossible to do in a
15 non-inferiority trial because you don't know what
16 those endpoints would be in a placebo-controlled
17 trial, and many of the older placebo-controlled
18 trials don't look at things like that.

19 Finally, there are differences in cure
20 rates across various patient populations. For
21 instance, if one would just say community-acquired
22 pneumonia, is there a one-size-fits-all delta for
23 community-acquired pneumonia? Or, does that matter
24 if you are studying an intravenous drug in severe
25 hospitalized community-acquired pneumonia versus an

1 oral drug in less severe outpatient
2 community-acquired pneumonia?

3 [Slide]

4 I think Christy touched on this and I just
5 wanted to put this in a different graphic
6 representation. That is, whether a drug falls
7 within that non-inferiority margin, which we glibly
8 refer to as making the delta, is not independent of
9 how the drug actually performs in the clinical
10 trial.

11 For instance, if you have a drug where the
12 point estimate of efficacy is close to control, say
13 just three percent worse--Tom Flemming brought this
14 up at the advisory committee as well and probably
15 had some more detailed slides than I have here, but
16 if one has a drug that is close to the efficacy of
17 the control agent, the likelihood that you are
18 going to fail to come within the confidence
19 interval of the lower point estimate of the delta
20 is probably pretty small. On the other hand, when
21 you have a point estimate that is further away from
22 the control, such as in the bottom example of minus
23 nine percent, that is where you run into trouble
24 about whether you can make the delta or not.

25 That brings up the clinical question of if

1 a drug actually works very well or even if it is on
2 the other side of zero, then you have less of a
3 worry about making the delta or not and it is with
4 the same exact sample size that you can actually do
5 this.

6 [Slide]

7 What we have tried to do then is to come
8 up with some idea of how we would approach this
9 given the limitations on the data that we have of
10 placebo-controlled trials. One suggestion that we
11 would like to discuss today would be to look at
12 these prior placebo-controlled trials, with all of
13 their attendant issues, and determine a range of
14 deltas for a given indication. Obviously, this has
15 the issues that we have discussed.

16 One of the things to keep in mind is that
17 the ICH-E-10 document actually cautions about
18 performing non-inferiority trials at all if one
19 doesn't have the data on delta-1. The other issue
20 is if one would come up with a range of deltas for
21 a given disease, so for instance, one would study
22 one of these non-severe indications and we come up
23 with a range of deltas somewhere between 4 percent
24 and 12 percent, the natural tendency would be to
25 pick the 12 percent because that allows you to get

1 the smaller sample size. However, ICH E-10 also
2 cautions about being suitably conservative when
3 selecting that delta.

4 One of the other things that our
5 statisticians have asked me to talk about also is
6 that those are the point estimates of the benefit
7 over placebo. Some people have actually
8 recommended that you use the confidence intervals
9 around that point estimate which, again, would get
10 you to a larger sample size but I think that is
11 something we need to talk about today as well.

12 [Slide]

13 Then there are the considerations within
14 an indication. In the example of
15 community-acquired pneumonia that I used one could
16 make the case that if you are looking at severe
17 community-acquired pneumonia the delta for that
18 disease might be different than outpatient less
19 severe community-acquired pneumonia, but also take
20 into account the size and scope of the development
21 program and the characteristics of the current
22 study. For instance, in acute otitis media studies
23 that were done in the past without baseline
24 tympanocentesis one had great questions about what
25 the benefit over placebo actually was. Can we

1 select a delta that may be larger if now we are
2 looking at studies with microbiologic underpinnings
3 with actual baseline tympanocentesis? Then, the
4 last thing one might want to take into account, as
5 Christy mentioned, is the number of trials per
6 indication which may give you some more confidence.

7 [Slide]

8 The other thing we can talk about is not
9 non-inferiority trials as the only example here,
10 but also can we look at some alternative trial
11 designs? The other important thing to keep in mind
12 is that for some of these alternative trial designs
13 the sample size might actually be smaller than the
14 non-inferiority trial. So, can we look at things
15 like superiority of one agent over control? This
16 may be helpful in some of the non-severe diseases.
17 It may be a tall order to ask for a drug to be
18 superior to a control in immunocompromised patients
19 where the host effects may limit your ability to
20 reach a cure rate.

21 The second thing to talk about is maybe
22 doing placebo-controlled trials, as Dr. Talbot
23 talked about, with maybe this option for early
24 escape therapy. In other words, a patient remains
25 on placebo for two days, three days, five days,

1 whatever people think is appropriate. If they are
2 failing at that point, then they go on to a drug
3 therapy so that the ethical issues of leaving them
4 without therapy are addressed.

5 Finally, there are dose-ranging studies,
6 and linezolid was approved for vancomycin-resistant
7 enterococcal infections based on a dose-ranging
8 study where one could look across those.

9 Finally, Christy brought up this issue of
10 non-comparative data and how would that impact on
11 the development program as a whole? In other
12 words, there is a difference between looking at
13 non-comparative data as part of the overall drug
14 development program versus non-comparative data as
15 the only thing upon which the development program
16 hinges. Also, superiority and placebo-controlled
17 trials would allow us to examine endpoints such as
18 time to resolution of self-resolving diseases.
19 This is not such a novel concept as for diseases
20 such as influenza and traveler's diarrhea. We
21 already look at time to resolution of symptoms in
22 those kinds of diseases.

23 I am going to turn it over to Dr. Edwards
24 at this point and leave these slides up here about
25 the things we can discuss, and I think you have

1 these questions already printed out as well.

2 Discussion

3 DR. EDWARDS: Who would like to start? It
4 is actually a lot of information we have been given
5 in these three very nice discussions. Roger?

6 DR. ECHOLS: I have been around long
7 enough to sort of tell old stories and I am
8 reminded of the first time I heard a discussion
9 about delta, and it was when the guidelines were
10 first being designed back in the late '80's and I
11 didn't really know what delta was. It was
12 explained by statisticians and we got into the
13 one-sided versus two-sided, which still now 12, 15
14 years later is unresolved, and it is one thing I
15 think we could make progress on.

16 But the other thing I think comes down to
17 something that Walt Wilson said. He was the sort
18 of expert on endocarditis and we were talking about
19 delta in terms of sample size feasibility and
20 whether it was 15 or 20 percent, and he was aghast.
21 He just said, do you mean to tell me that I have to
22 explain to a patient that I can have a 95 percent
23 cure rate if I use standard of care but if I use
24 this experimental drug the study might show
25 something that was 10 or 15 percent worse than

1 that? He said, I could never accept that. So, for
2 something with a cure rate in the 90-some percent,
3 the step-wise delta was very, very tight. In terms
4 of endocarditis they talked about minus five
5 percent as the lower boundary. Of course, no one
6 has ever done an endocarditis study because it is
7 not doable.

8 The key I think in solving some of this is
9 something that has been mentioned many times today,
10 you know, what is your endpoint. If your endpoint
11 is microbiologic, I think you can achieve a tight
12 confidence interval in certain situations, such as
13 bacteremia, maybe endocarditis, meningitis. But if
14 your primary endpoint is clinical where your
15 success rate is not likely to be 95 percent,
16 particularly in your life-threatening infections,
17 or at least not 95 percent without sequelae like
18 valve replacement or some neurologic deficit, then
19 you will never be able to have that level of
20 confidence. So, it still comes down to what is it
21 that you want to be confident about. Is the
22 patient, you know, walking out of the hospital
23 under their own speed or have you eradicated the
24 infection?

25 DR. POWERS: Can I make a comment? Since

1 we are going to get back to this clinical versus
2 micro thing, I think a lot of this is going to come
3 up tomorrow when we talk about the specific
4 indications. But I just wanted to put this in
5 perspective. The guidances as they are written
6 now--there are certain diseases where microbiology
7 is the primary endpoint--uncomplicated urinary
8 tract infections; acute uncomplicated gonorrheal
9 infections--the way the guidance is written now,
10 that is what it says, microbiology is the primary
11 endpoint.

12 What we have been talking about tacitly
13 today is accepting microbiologic endpoints for
14 severe diseases like meningitis. That is a
15 different issue and I think we need to realize it
16 when we talk about accepting microbiologic
17 endpoints as the primary endpoint. We need to make
18 that distinction between severe versus non-severe.

19 The other issue I wanted to bring up was
20 something I tried to show on that sample size
21 graph. At our July advisory committee on acute
22 otitis media one of the speakers showed that one
23 could do an otitis media study with double taps,
24 showing eradication with 33 patients per arm. The
25 question at the end of that trial is what do you

1 know about the safety of that drug in kids when you
2 have those 60 patients with otitis media? So, I
3 guess one of the questions I wanted to ask the
4 group here is where does the sample size get too
5 small?

6 The third point I wanted to ask is, Roger,
7 you brought up this idea about surrogate endpoints
8 in HIV. The time to measure a clinical endpoint in
9 HIV may be years down the line. Some of the other
10 places where we accept surrogate endpoints would be
11 like cancer where we look at regression of tumors
12 instead of the actual outcome. Those are things
13 where the clinical outcome is years away. In
14 infectious diseases we are actually talking about
15 only weeks down the line.

16 So, the question that comes up is if one
17 can measure the clinical outcomes, shouldn't one
18 look at those? The issue then becomes but then
19 they start driving the sample size. Therefore, the
20 question is, is there a reasonable delta one could
21 select around those lower clinical outcomes in
22 something like meningitis that would give one a
23 sample size that would allow one to look at the
24 drug-disease and drug-patient interactions but not
25 be so onerous that companies couldn't perform the

1 trials?

2 DR. ECHOLS: Actually, Walt, you and
3 others have convinced me that for life-threatening
4 infections, for severe infections, in a perfect
5 world we want to have a tight confidence interval.
6 We want to be confident. Since we can't do
7 placebo-controlled trials we have to do
8 non-inferiority trials. None of us wants to either
9 work on a drug, approve a drug, develop a drug or
10 treat a patient with a drug that is not as good as
11 other drugs that are out there.

12 To me, backing up on what is an adequate
13 confidence interval is one way to achieve what is
14 feasible, but I still think that--we will talk
15 about meningitis again but particularly in these
16 life-threatening, multiple confounded situations,
17 whether it is hospital-acquired pneumonia, sepsis
18 or meningitis, the clinical outcome is not
19 determined just by the antibiotic. The clinical
20 outcome is determined by their underlying disease,
21 how long they have been sick before they were
22 treated, too many other things. So, the reliance
23 on clinical endpoints as a primary is, to me, just
24 too confounded and you will never be able to sort
25 through it.

1 DR. TALBOT: Agreed that the outcome is
2 dependent on the disease, but I think the concern
3 is when the antibiotic is having an effect on
4 outcome that is not efficacy, when there is a
5 drug-disease or drug-patient interaction in terms
6 of safety that is problematic. So, if it were
7 always true that the antibiotic is taking care of
8 the bug and then the rest of that has nothing to do
9 with the antibiotic, I think you would be okay but
10 that is the hesitancy for going for all clinical
11 information.

12 To take further your point in some of the
13 issues we have discussed, in endocarditis or acute
14 bacterial meningitis I would have no problem. In
15 fact, it is what I was trying to suggest, to have a
16 tight delta in a comparative study with 20 or 25
17 patients per arm with a microbiologic endpoint.
18 Where I have trouble taking the next step is to
19 give full approval of effectiveness for that
20 because you don't know about the drug-disease
21 interactions and drug-patient interactions in those
22 patients.

23 So, what I am suggesting is that there be
24 an intermediate step in the label where you can say
25 that you achieve this with these endpoints but that

1 you have some limitations in what you can conclude.
2 To me, there is precedent for that. Please forgive
3 me if I am stepping on regulatory toes, but I think
4 there are some precedents in terms of the in vitro
5 list and I think you might be able to get there
6 pretty quickly while you are trying to validate
7 some of these markers in terms of their clinical
8 relevance as well as their micro relevance.

9 DR. EDWARDS: Yes, John?

10 DR. BRADLEY: Roger, John and I had a
11 conversation last week so that we wouldn't
12 duplicate our talks on meningitis and many of these
13 points came up. With meningitis you can't afford
14 to miss it. You need to get a microbiologic cure.
15 We can talk more about microbiologic as a surrogate
16 for cure in this particular situation, but you need
17 a relatively few number of patients to show that
18 you can sterilize CSF with new antimicrobials. I
19 am very happy with that in terms of does the drug
20 work.

21 The side effect profile is something else
22 again, and with meningitis in particular the doses
23 of the drugs are usually higher than they are with
24 other systemic infections so the toxicity profile
25 may well be different. It is something, as we all

1 discussed, that is very important to track. With
2 two quinolones at least, there are some long-term
3 follow-up data in which joint problems which may
4 show up months or years later are currently being
5 tracked, but that is sort of an extra study that
6 will be looked at as time goes on, which is
7 probably not going to slow down approval up front
8 for the indications that these companies are
9 applying for.

10 As you mentioned, Roger, with meningitis
11 the clinical outcomes can have very little to do
12 with the microbiologic efficacy of the drugs. You
13 can get death when you sterilize the CSF. In one
14 of the studies failure of the drug, when you looked
15 into the case report form, the investigator changed
16 the drugs from the antibiotic to INH rifampin and
17 pyrazinamide. So, obviously, they were thinking
18 this was TB meningitis and not bacterial, yet that
19 was a failure of this antibiotic in the clinical
20 trial.

21 So, I do need clinical information on
22 toxicities and effectiveness and, again, we will
23 discuss this more tomorrow. But the micro is the
24 most important to me in showing that the drug does
25 what it is requested to do.

1 DR. EDWARDS: Dr. Gilbert?

2 DR. GILBERT: Well, I am always dazzled by
3 the statisticians so if I slip on the ice referring
4 to statistics, you will forgive me. But it seems
5 to me like there are three deltas, not two deltas.
6 There is the first delta for the placebo-controlled
7 trial and we have talked about that. The hang-up
8 seems to be the second delta, and it seems like you
9 could subdivide that. You could have a bacterial
10 efficacy delta using microbiology endpoints. For
11 those conditions where we can get microbiology
12 endpoints you can enroll a small number of
13 patients. I think we should do away with the word
14 "surrogate" by the way because we all have
15 different definitions of "surrogate" but that is
16 another issue. But we have one delta for
17 microbiology efficacy, and then another delta that
18 we could call the adverse effect delta. So, you
19 run your trial for these really tough infections,
20 meningitis, otitis with double taps, even
21 endocarditis, with small numbers of patients where
22 you have clear-cut, crisp microbiologic endpoints.
23 Then you run all the other trials, the whole
24 powerful database for skin, soft tissue and
25 whatever else you are studying, and that has an

1 adverse effect delta of whatever it is going to be.
2 It can be much looser, ten percent or whatever is
3 decided to be appropriate. Looking at it from the
4 patient perspective, we want to have a delta for
5 adverse effects and a delta for efficacy.

6 DR. POWERS: I think that is kind of a
7 compromise position we are trying to get to, to say
8 can we select two separate deltas for some of these
9 trials, one for the microbiologic endpoint and one
10 for the clinical endpoint, but make the one for the
11 clinical endpoint reasonable so that the trial can
12 get done? I think there is a problem with what Dr.
13 Wilson said, and that is that going into the trial
14 you don't expect that your drug is going to be 20
15 percent worse. That is way out on the margin.
16 What you really hope is that you are X percent
17 better but, at the very worst, you hope you are
18 only this much worse. So, going into it, the
19 margin is really the protection for the patient,
20 the way I look at it, that the drug isn't going to
21 be horrendously worse than what you have out there
22 already.

23 The third point there is probably some
24 place we don't want to go, and that is that some of
25 these side effects are rather rare. If one were to

1 put a delta around it, it would be near impossible
2 to do the trials. So, what I think you end up
3 doing with safety is you end up looking for a
4 signal but not putting numerical or statistical
5 values around that.

6 To go back to Dr. Gilbert's assertion, I
7 guess what we are trying to get to is can we get a
8 clinical delta that is reasonable and a micro delta
9 that might be tighter, and then look for a safety
10 signal without putting any numerical or statistical
11 values around it.

12 DR. GESSER: I suspect you are talking
13 specifically about meningitis because I think the
14 tightness of relative deltas will vary by the
15 indication. It seems like we have strayed into a
16 safety discussion and safety is of primary
17 importance but I suspect our intent here was to
18 discuss proof of efficacy. It goes without saying
19 that safety is handled in a different way and these
20 discussions of delta are not tied specifically to
21 safety. I think, as Dr. Gilbert points out, the
22 safety data often comes from other indications and
23 for difficult to study indications like meningitis
24 or endocarditis or some of the others that we have
25 mentioned, the types of safety databases that we

1 often require are not going to come from that
2 population alone. I think that is important.

3 DR. POWERS: I think it is important to
4 realize that there are safety differences across
5 those diseases. For instance, the duration of
6 treatment in endocarditis may show you a safety
7 issue with that drug that you wouldn't see in the
8 other parts of your safety databases.

9 DR. GESSER: Right, and dosing, but that
10 needs to be looked at in totality, not specifically
11 when one is trying to assess what tests should be
12 used to demonstrate the delta-2 issue that the
13 investigational drug is no worse than the
14 comparator that is chosen for that study.

15 DR. EDWARDS: Christy?

16 DR. CHUANG-STEIN: Yes, I hate to put on
17 my statistician's hat and remind people about the
18 sample size. That seems to be what statisticians
19 are doing in their respective companies. Even if
20 we use the micro, the eradication rate as the
21 primary endpoint, the confidence interval can only
22 do as much as it can. The width of the confidence
23 interval is reciprocally proportional to the square
24 root of sample size. So, even if we have the
25 eradication rate as high as 95 percent but if we

1 only have a sample size of 20, that confidence
2 interval is going to be pretty wide. It is not
3 going to meet, you know, minus five or minus ten
4 percent. So, the high eradication rate is not
5 going to help. The sample size will have to be
6 pretty high to meet a very tight margin there. We
7 can go back to one of the slides where the cure
8 rate or success rate was about 90 percent. If we
9 push that even a little bit further to the right
10 the sample size will go down a little bit but it is
11 not going to get us to 20 or 25.

12 DR. TALBOT: I think the corollary to that
13 is if, as John suggests, you would think about a
14 second delta for a clinical endpoint, maybe wider
15 one. Without looking at the numbers, I am still
16 concerned that for some of these indications even a
17 20 percent delta would still translate into patient
18 enrollment requirements that would be not feasible.
19 For example, let's say in bacterial meningitis you
20 decide that you want a 90 percent eradication rate
21 for your control and a five percent margin for
22 bacteriologic, you do your calculation and it is 40
23 patients, or whatever. If to that group you apply
24 a 20 percent delta for getting clinical proof, you
25 are still talking about a pretty big trial again.

1 So, I need to look at the numbers, but I
2 am not sure how much you are really saving by
3 adding that clinical delta. I still find it
4 appealing to think that you just report the
5 microbiologic endpoint as well as the data from
6 safety across all the other populations, efficacy
7 across all the other indications, etc. and just say
8 here are the microbiologic data. We met this delta
9 but we can't infer completely what the clinical
10 safety profile is, and skip the delta.

11 DR. POWERS: I guess the issue that comes
12 up there then is now you are talking about one of
13 the most severe diseases you will ever treat and
14 you are not going to give clinicians information on
15 what the actual clinical cure rate is in that
16 disease.

17 DR. TALBOT: Well, you would but you
18 wouldn't power the study using a delta. You would
19 report the clinical results observed in that
20 population in which you had assessed your
21 microbiologic endpoint but you would note the
22 limitations of that.

23 DR. POWERS: I guess looking at it from
24 our point of view, the question that might come up
25 then is suppose one did a trial in meningitis where

1 one showed 95 percent bacterial cure rates in both
2 arms of the trial, and then when you looked at the
3 clinical success rates one is 80 percent and one is
4 70 percent. Now you have numbers so small that you
5 can't decide whether that difference in the
6 clinical cure rates is just because you didn't have
7 enough patients or if there is a true difference in
8 clinical cure rates between those two drugs.

9 Let me bring up this issue about why
10 because, again, it goes back to whether one accepts
11 that all the drug does is eradicate bacteria. Last
12 week's New England Journal of Medicine had a paper
13 on dexamethasone in bacterial meningitis. Mike,
14 your and Alan's editorial about some of the trials
15 done in the past didn't give the steroids before
16 the antibiotic, and I thought why is that? Why
17 would that be an issue? That is because, you know,
18 you have talked a lot about how the antibiotics
19 affect what happens to these inflammatory
20 mediators. So, the idea here is that, yes, there
21 is a host response but the antibiotics impact what
22 that host response might be. It is not just that
23 it eradicates the bacteria and that is it. So, if
24 one didn't think that was important, then why would
25 one need to give the steroids before the drug if

1 that wasn't an issue? So, the question that then
2 comes up is are there host-drug interactions that
3 one would not be able to measure in any other way,
4 other than looking at the clinical outcome?

5 DR. TALBOT: Right, but the alternative is
6 if you don't make it easy to study the drug you are
7 going to have no information on the drug. You are
8 not even going to have microbiologic. At least if
9 you focus on microbiologic and note the limitations
10 of the clinical, you will have those data in the
11 label with the appropriate interpretations ensured
12 by the agency pointing out, for example, what the
13 limitations are; certainly pointing out the
14 differences in the unsatisfactory outcomes. I
15 would like to hear from my active clinician
16 colleagues, but I think that is better than having
17 nothing about it.

18 DR. SCHELD: I think it is better than
19 having nothing. You raised a very good point,
20 John, because of the inflammatory issues which are
21 stimulated by bacteriolytic drugs, and all the
22 issues of whether a drug that was not bacteriolytic
23 but was bacteriocidal might actually be better in
24 this disease. I don't want to get into that today,
25 but I think having the information on the rate of

1 bacteriologic eradication in spinal fluid would be
2 very meaningful to clinicians. It really doesn't
3 help you set the trial size though for a clinical
4 endpoint. If you pick an endpoint, like they did
5 in the dexamethasone trial which is basically
6 walking, talking, going to school, perfectly
7 normal, no neurologic sequelae versus everybody
8 else, it took 300 patients and nine years in five
9 countries to get there, and that is the real issue,
10 and they picked an endpoint where they might be
11 able to pick up such a difference.

12 I don't know what the compromise situation
13 would be but I think that we have to get somewhere
14 with rates of bacteriologic eradication because,
15 you know, all the work that is done in experimental
16 meningitis in the literature looks at colony
17 forming units per milliliter of spinal fluid per
18 hour of treatment. If you actually look at those
19 kind of experiments, adding a modern-day quinolone
20 to a third generation cephalosporin is better than
21 the standard regimen we are using today but we are
22 never going to know whether that is better in
23 humans right now. We just can't do that.

24 But there might be a better way to look at
25 bacteriologic eradication with one caveat. That

1 is, back in the early days when Roche was studying
2 ceftriaxone in meningitis in Senegal, it looked
3 like the drug was working fantastically well
4 because none of the kids with H. flu meningitis had
5 positive spinal fluid 12 hours after the first dose
6 of drug. Then they did a very clever thing, which
7 we also did in the laboratory, which was you add
8 beta-lactamase to the CSF and they are all
9 positive. So, with those kind of caveats, you just
10 have to be careful with a bacteriologic endpoint.

11 Another example with endocarditis, and I
12 wish I could have been there to hear Walter talk
13 about this because I can imagine what he would
14 say--"oh, my God, you get a 95 percent cure rate
15 with virulent streptococcal endocarditis; you can't
16 accept anything less," and I agree. We shouldn't
17 accept 15 percent less. It is unacceptable. But
18 you do a clinical trial, as was done a number years
19 ago and which is the only one we have, where you
20 compare a beta-lactam versus beta-lactam plus
21 immunoglycoside in Staph. aureus endocarditis.
22 Even though at the end of the day the clinical
23 outcome looked to be about the same, clinicians
24 still use that data to use combined therapy for the
25 first three to five days because that is where all

1 the benefit takes place.

2 DR. POWERS: Mike, you bring up the exact
3 point that is the flip side of what we are talking
4 about. That is, where you see a microbiologic
5 benefit that doesn't pan out into a clinical
6 benefit. John Rex' study on candidemia, presented
7 at ICAAC last year, is the same thing, amphotericin
8 plus fluconazole versus fluconazole alone cleared
9 the candidemia faster; no benefit clinically.
10 Again, it is the same situation as talking about
11 adding a second potentially toxic drug and
12 clinicians making a decision based on microbiology
13 that didn't pan out to have a clinical benefit to
14 patients. I guess that is the flip side of what we
15 are talking about here when we say that things
16 might be microbiologically equivalent and not turn
17 out.

18 Just to get away from meningitis, you can
19 bring up an example of E. coli 0157 treatment in
20 diarrhea where one could show that you eradicate
21 the organism and, yet, there are suggestive
22 retrospective case control data that say that may
23 actually adverse clinical outcomes as far as
24 increased incidence of hemolytic uremic syndrome in
25 kids. So, it is not just meningitis. I think this

1 issue of are there clinical outcomes that would be
2 important to measure come up with other diseases as
3 well.

4 DR. EDWARDS: Mark?

5 DR. GOLDBERGER: There is a potential
6 regulatory solution to some of this, and that is
7 that I think it would be difficult to just sort of
8 put in the label in some way the results of a study
9 for meningitis, you know, and just sort of leave it
10 there and then people are sort of supposed to sort
11 out what to do. However, if a study were done, in
12 fact, of a limited size with a favorable
13 microbiologic response and, you know, obviously at
14 the end of the day less ability to understand how
15 the two products compared clinically, there is no
16 question in any case that something like this would
17 go, you know, to the relevant--in this case, the
18 anti-infective advisory committee for discussion.
19 There would be a lot of looking at rates of culture
20 negativity and whatever data there was. But at the
21 end of the day what could very well happen is a
22 decision that you get an indication that might say
23 drug is indicated for treatment of whatever type of
24 meningitis was studied in situations, you know,
25 where alternative therapy is unavailable or

1 inappropriate. In other words, it might end up
2 with a second-line indication based on the fact
3 that there was insufficient information to really
4 draw conclusions about how it compared to the
5 established drug, which was the control but,
6 therefore, leaving it as an option for a situation
7 where, for some reason, the control therapy was
8 felt by the treating physician to be inappropriate.

9 I suspect that that is a regulatory
10 approach that would be more compatible with, in
11 general, how we have approached other problems than
12 simply leaving it in the label and kind of leaving
13 it in the air for people to sort through the
14 culture negativity rates, not really saying
15 anything about how it is indicated and then just
16 leave it completely up to the clinician.

17 DR. EDWARDS: With that comment, I think
18 we are going to try to bring the meeting to a close
19 unless--yes, Bill?

20 DR. CRAIG: A potential advantage of
21 eliminating an organism faster is that it will
22 allow for a shorter course of therapy. It may not
23 translate into any benefit in overall outcome if
24 one uses a long course, but since the organism is
25 eliminated quicker and, again, nowadays with all

1 the concern with resistance a shorter courses
2 result in less exposure and that could turn out to
3 be a positive aspect.

4 Concluding Remarks

5 DR. EDWARDS: If I could have just about
6 two minutes, I would like to make a couple of
7 comments in terms of an extemporaneous summary of
8 the day. Even though we have tracked through about
9 25 topics today so far, I will try to keep it down
10 to just two minutes.

11 We started out understanding that we have
12 a problem. We need to continue to attract the
13 development of new antimicrobial agents at a time
14 when we are at a critical crossroad regarding needs
15 because of resistance, because of bioterrorism
16 needs, and because our armamentarium is just
17 diminishing in quantity.

18 We pointed out the fact, something we
19 haven't really emphasized but I wanted to just make
20 the point that I think we are really in a new
21 paradigm of studying patients in many ways. We
22 have patients whose clinical records are about this
23 big for almost all of the infectious disease
24 problems that we are studying. Unlike an era when
25 we had lots of patients with simple, acute

1 bacterial meningitis or acute endocarditis who came
2 in off the streets and were uncomplicated, we are
3 now dealing with a large population of
4 immunocompromised hosts who really compound the
5 difficulties regarding analyzing the effectiveness
6 of an agent, more so than than the toxicity,
7 although the toxicity certainly comes in here. Dr.
8 Wenzel made the point very clearly that comorbidity
9 is a big factor that we have to take into
10 consideration.

11 We clearly know we have a big resistance
12 problem. We went through a fair number of
13 solutions to the problem, which included the
14 possibility that it is an acceptable strategy to
15 incorporate PK/PD data with limited clinical data
16 carefully in evaluating the efficacy of new agents.

17 We did not develop very fully the notion
18 regarding whether efficacy in one infection applied
19 to efficacy in another infection and, therefore,
20 would reduce the number of trials per specific
21 entity. We touched on that but we really didn't
22 develop that notion very far.

23 We talked over and over again about the
24 fact that it may be feasible to develop labels
25 containing information that is informative but not

1 conclusive and we have actually come back to that
2 notion over and over again throughout the day.

3 We had a very interesting discussion about
4 incentives and some very creative ideas were put
5 forward. We have been working all day today, and
6 will all day tomorrow again, on developing the
7 notion of maximizing the incentives that do not
8 require legislation at this time and that already
9 exist. The IDSA is going to definitely explore the
10 idea of pursuing incentives that may require
11 legislation, and I think that job is on our
12 shoulders at the present time.

13 We have I think agreed that the delta will
14 be determined for each specific indication and that
15 there is no across the board delta. The real
16 challenge is trying to figure out how to apply
17 that, and that is what we are grappling with here,
18 and will all day tomorrow as we will come back to
19 the delta issue over and over again.

20 There were two things we didn't discuss
21 today, and perhaps we will have a chance tomorrow,
22 that are I think of importance and those were
23 suggestions made by Christy regarding the
24 one-tailed testing to reduce population evaluation
25 size, and we really didn't explore in a lot of

